

1 PARTICIPANTS

2 Ms. Rona N. Affoumado- Executive Director,
Community Health Project, New York

3 Mr. Walter F. Batchelor- Director of Research,
John Snow, Inc., Research and Training
4 Institute; Member, American Psychological
Association

5 Kate Cauley, Ph.D.- Deputy Director for
the AIDS Policy Project, Intergovernmental
Healthy Policy Project

6 Paul Cleary, M.D.- Associate Professor of
Medical Sociology, Harvard School of
7 Public Health

8 Deborah Cotton, M.D., M.P.H.- Clinical Director
For AIDS, Beth Israel Hospital, Boston, MA

9 Ms. Mindy Domb- AIDS/HIV Educator,
Pittsfield, MA

10 Mr. Eric L. Engstrom- Executive Director,
National AIDS Network, Washington, D.C.

11 William F. Flanagan- Director of Public
Policy, AmFAR, New York

12 Jackie Gelfand, M.A., M.F.C.C.- ATS Project
Manager, Los Angeles Gay & Lesbian
Community Services Center

13 Alan Hinman, M.D., M.P.H.- Director of Centers
for Prevention Services, Centers for
14 Disease Control

15 Wayne Johnson, Jr., M.S.P.H.- School of Public
Health, University of South Carolina,
Columbia

16 Mr. Jeff Levi- Consultant,
Washington, D.C.

17 Harvey Makadon, M.D.,- Executive Director,
Boston AIDS Consortium; Asst. Professor,
18 Harvard Medical School

19 John F. Mazzuchi, Ph.D.- Office of Assistant
Secretary for Health Affairs, Department
of Defense, Washington, D.C.

20 Jim McEvoy- Representative from
the National Association of People With
21 AIDS

22 Alvin Novick, M.D.- Professor of Biology,
Yale University, Mayor's Task Force on
AIDS, New Haven, CT

23 Joseph O'Neill, M.D., M.P.H.- Chief Medical
Director, Division of HIV Services, Health
24 Resources and Services Administration

1 PARTICIPANTS (CONTINUED)

2 Alonzo Plough, Ph.D.- Deputy Commissioner
for Public Health, City of Boston

3 Ms. Marie St. Cyr- Executive Director,
Women and AIDS Resource Network, Brooklyn

4 Ronald St. John, M.D., M.P.H.- National AIDS
Program Office, Department of Health and
Human Services

5 Mr. Romeo Sanchez- Human Rights
Specialist, New York City Commission on
Human Rights

6 H. Denman Scott, M.D.- Director of Health,
Rhode Island Department of Health,
7 President, Association of State &
Territorial Health Officials

8 Peter Smith, M.D.- Department of
Pediatrics, Rhode Island Hospital,
Providence, RI

9 Jill Strawn, R.N., M.S.N.- Director of Agency
Outreach, Community Health Education
10 Project, New Haven, CT

11 John W. Ward, M.D.- Special Assistant for
Science (HIV), Centers for Disease
12 Control, Atlanta, GA

13 Mr. Bob White- Deputy Director,
BEBASHI, Philadelphia, PA

14 Mr. Wayne S. Wright- Executive Director,
Multicultural AIDS Coalition, Boston, MA

15

16

17

18

19

20

21

22

23

24

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

I N D E X

SPEAKER PAGE

Dr. Paul Cleary 17

Dr. John Ward 38

Dr. Kate Cauley 55

Ms. Marie St. Cyr 67

Dr. Alan Hinman 77

Dr. Joseph O'Neill 97

AFTERNOON SESSION 109

1 MORNING SESSION

2 CHAIRMAN ALLEN: My Name is Scott
3 Allen. Let's go ahead and get started.

4 Good morning. I would like to welcome you
5 all to this working group meeting of the National
6 Commission on Acquired Immunodeficiency
7 Syndrome. We are here order to provide an
8 opportunity to look at the a variety of issues
9 associated with HIV epidemic in this country, to
10 look at the certain issues in depth and report to
11 the full Commission on this working group.

12 I am Chair of the working group and at
13 this time I would like to introduce the other
14 members of the group: Larry Kessler here is the
15 co-founder of AIDS Action Committee here in
16 Boston, and most of you probably know him if
17 you're from the Boston area.

18 Eunice Diaz is the Associate Professor of
19 Family Medicine at the University of Southern
20 California. She has worked extensively with the
21 Hispanic issues across the country.

22 Harlon Dalton is a professor at Yale Law
23 School and the editor of "AIDS And The Law".

24 Don Gold professor man is a lawyer from

1 New Jersey and is the Past President of the
2 National Hemophilia Foundation.

3 And Doctor Ron St. John is from the
4 National AIDS Program Offices, also here with us
5 today.

6 I think this would be a good time to go
7 around the table and to let you all get to know
8 each other. Since I did not tell you my
9 background, I am from Dallas, Texas. I am an
10 ordained minister that is a founder of the AIDS
11 Interfaith Network in Dallas, and also a part of
12 the AIDS Arms Network, the case management
13 program in Dallas. I have been on city, county
14 and state task forces dealing with the AIDS
15 epidemic. So that is my background as well.

16 Why don't we go around and introduce
17 ourselves.

18 DR. CAULEY: My name is Kate
19 Cauley. I am with the AIDS Policy Center in
20 Washington, D.C., where we attempt to monitor and
21 analyze all legislation in the states related to
22 HIV infection.

23 MR. McEVOY: My name is Jim
24 McEvoy. I am with the National Association of

1 People With AIDS out of Washington. I stand in
2 support of the AIDS Action Committee in Boston.
3 Also I am co-founder and Executive Director of
4 the Boston Living Center which is a self-help
5 resource center which provides drop-in facilities
6 and activities for people with the HIV
7 infection.

8 DR. ST. JOHN: I am Ronald St.
9 John. I am with the National AIDS Program Office
10 and Office of the Secretary of Health and Human
11 Services.

12 I am Peter Smith. I am Associate
13 Professor of Pediatrics at Brown University. I
14 have been involved with hemophilia and co-founded
15 the hemophilia program in Rhode Island and have
16 been very active with the National Hemophilia
17 Foundation.

18 DR. O'NEILL: I am Joe O'Neill. I
19 am currently the Chief Medical Officer for the
20 Division of HIV Services in one of the bureaus at
21 HRSA. I have been in this job about five
22 months. Prior to this I was working at the
23 County Hospital in Seattle in the HIV AIDS clinic
24 as a primary care physician and also worked as an

1 HIV testing counselor for the Department of
2 Public Health.

3 DR. MAZZUCHI: I'm John Mazzuchi.
4 I am with the Department of Defense with the
5 Office of the Assistant Secretary For Health
6 Affairs; most specifically, I am principle
7 director of the Office of Professional Affairs of
8 Quality Assurance. Ours is the office that has
9 had primary responsibility for developing policy
10 with regard to HIV AIDS infection for the
11 military.

12 MR. DALTON: I am Harlon Dalton. I
13 am a law professor at Yale University.

14 CHAIRMAN ALLEN: Anything else you
15 would like to share?

16 MS. GELFAND: I am Jackie Gelfand,
17 ATS Project Director of Gay and Lesbian Community
18 Services in Los Angeles.

19 MR. JOHNSON: I'm Wayne Johnson. I
20 am an instructor in statistics in epidemiology at
21 University of South Carolina School of Public
22 Health.

23 DR. CLEARY: I am Paul Cleary. I'm
24 with the Department of Health Care Policy at the

1 Harvard Medical School. I've analyzed a number
2 of testing programs in the United States. I'm
3 currently director of a program to provide
4 information and support to persons who test
5 positive for HIV infection. I'm participating in
6 a large study of the cause and outcomes of
7 different patterns of care for persons with AIDS
8 in Boston.

9 MR. ENGSTROM: I'm Eric Engstrom.
10 I'm Executive Director of the National AIDS
11 Network. We are best known for annual skills
12 conference that assists volunteer-based
13 organizations in terms of management and
14 service. Prior to accepting that position in
15 December I was co-executive director of the
16 Minnesota AIDS Project, which was a statewide
17 AIDS prevention project.

18 MR. WHITE: I'm Bob White. I am
19 presently the site director of our new county
20 test center in Philadelphia. Prior to that I was
21 coordinator of case management services at our
22 community health center.

23 CHAIRMAN ALLEN: Excuse me, our
24 court reporter is trying to take down everything

1 we say, and she is having difficulty with the
2 speed at which we are speaking; also, I would
3 assume with the background noise. So let's have
4 a little compassion for her and try to slow down
5 a little.

6 MR. LEVI: My name is Jeff Levi. I
7 started in AIDS work at the beginning when I was
8 a Washington representative for the National Task
9 Force. I became its executive director and was
10 that until August of 199. Since then I have been
11 doing AIDS policy consulting for a number of
12 organizations, including AIDS Health Crisis, AIDS
13 Action Council and a project with the Institute
14 of Medicine.

15 DR. HINMAN: I am Director for the
16 Centers For Prevention Services at the Centers
17 For Disease Control. Our center handles the AIDS
18 prevention as well as STD control.

19 MS. AFFOUMADO: I am Executive
20 Director of the Community Health Project in New
21 York. This is my seventh year in the epidemic.
22 My main areas of expertise are in community-based
23 medical management for the diagnosis and
24 treatment as well as comprehensive and coordinate

1 models for continuum of illness for all people
2 with the infection.

3 MR. GOLDMAN: I am Don Goldman. In
4 addition to my private practice of law, I do some
5 work in areas of medical ethics. In addition, I
6 am also Vice President of the National Health
7 Council and have been involved with issues
8 involving responses by voluntary health agencies
9 in terms of issues of chronic disease and care.

10 MR. WRIGHT: I am the Executive
11 Director of the Multicultural AIDS Coalition here
12 in Boston. We focus our energies on issues of
13 communities of color and, more specifically,
14 educational strategies that work within the
15 communities. I sit on a number of boards here
16 locally, including The Hospice at Mission Hill,
17 the Governor's Task Force on AIDS, and the Boston
18 AIDS Consortium.

19 DR. NOVICK: I am Professor of
20 Biology at Yale and Chairman of the Mayor's Task
21 Force on AIDS in New Haven. In that capacity, I
22 function principally as a person who attempts to
23 develop services in health care for minority
24 persons and IV drug users. I am also the

1 Chairman of the Ethics Committee of the American
2 Association of Physicians For Human Rights, which
3 is primarily concerned with promoting health care
4 of gay men and lesbians.

5 MS. STRAWN: I am also from New
6 Haven. I am a nurse and am currently working as
7 the Agency Director for the Community Health
8 Education Project, which is a NIDA-funded AIDS
9 education demonstration project for substance
10 abusers and their partners. I am also on the
11 clinical faculty of Yale School of Nursing and
12 set up the first alternative testing site,
13 anonymous testing site in Connecticut, which was
14 in 1986, and ran that project for Yale. I'm very
15 involved with an interested complimenting
16 therapy, particularly for minorities and IV drug
17 users.

18 DR. WARD: I'm John Ward. I'm
19 Special Assistant for HIV Science at the Centers
20 For Disease Control in Atlanta. I've been
21 working on various epidemiological studies of HIV
22 infection since 1984 at the CDC. My primary
23 research interest has been in transfusion-
24 associated infection, and as part of that

1 research interest, I have done a number of
2 studies on the evaluation of HIV antibody and
3 antigen tests in screening persons for HIV
4 infection.

5 DR. MAKADON: I'm Assistant
6 Professor of Medicine at Harvard Medical School.
7 I work at Beth Israel Hospital in the Outpatient
8 Department where we have chosen not to have a
9 separate AIDS program but have integrated the
10 people with AIDS and HIV infection into a general
11 and primary care practice. I am also the
12 Executive Director of the Boston AIDS Consortium,
13 a group which was founded two years ago and now
14 involves about 400 individuals and 100
15 participating agencies looking at planning for
16 health care and human services with AIDS and HIV
17 infection in the Greater Boston area.

18 MS. DIAZ: I think Scott has said
19 enough about us as members of the Commission, but
20 in addition I am Vice Chair of the Los Angeles
21 County AIDS Commission and recently appointed to
22 serve as an advisor to HRSA in their newly-
23 created AIDS Advisory Council. Thank you.

24 MR. KESSLER: I'm Larry Kessler,

1 Executive Director of the AIDS Action Committee
2 here in Boston and a member of the Massachusetts
3 Task Force on AIDS as well as the City of Boston
4 Task Force and the AIDS Consortium here in
5 Boston. I welcome all of you to Boston on behalf
6 of the rest of us Bostonians.

7 MS. ST. CYR: My name is Marie St.
8 Cyr. I am the Executive Director of Women and
9 AIDS Resource Network in Brooklyn, New York. I
10 was previously the Director of the Haitian
11 Coalition on AIDS. My work involves education,
12 counseling and support to women in the
13 communities as well as to different sections of
14 the Haitian community in New York City. I am
15 also a member of the Board of the National AIDS
16 Network as well as the international liaison in
17 Haiti.

18 MS. BYRNES: I'm Maureen Byrnes.
19 I'm the Executive Director of the National
20 Commission on AIDS.

21 CHAIRMAN ALLEN: At this time we
22 will introduce Marc Roberts. Marc is going to be
23 our facilitator this afternoon. Marc works as a
24 facilitator and moonlights at Harvard as a

1 professor of some sort. So we are grateful to
2 have you here, Marc.

3 In the past year we have seen some
4 heartening development, with improved prospects
5 for a longer life and better quality. Although
6 we are far from ready to present AIDS as a
7 chronic management disease, we are progressing on
8 a hopeful course.

9 I have to say that the terrain has changed
10 somewhat as we look at testing and early
11 intervention; that now that we are seeing some
12 medications coming forth and some new dynamics
13 developing, it's appropriate for us to revisit
14 the issue of testing and intervention. So we
15 have brought you here because you are on the
16 front lines. You have had experience in this,
17 and we are grateful for you being here and taking
18 the time to come and help us as Commissioners.

19 We are here to learn from you and we are
20 here to interact and join together in the task of
21 trying to deal with this disease.

22 I would like to say from my perspective
23 that this disease, as I look at the statistics,
24 they are more than just statistics. These are

1 peoples' lives, people that have died that are
2 very precious, that it's very sad. So I am
3 concerned that we stay on course with looking at
4 the realities before us and not get off into the
5 theoretical journey but to say what really works,
6 what do we need to do to stop this epidemic
7 because there are also peoples' lives that are in
8 the balance right now, that are waiting to see
9 what we can do to stop this epidemic, that are
10 perhaps infected right now saying my life is in
11 your hands, to a certain degree, what are you
12 going to do with it.

13 We also have folks out there that aren't
14 infected, and the urgency there to keep them from
15 becoming infected; then balancing that with the
16 societal needs of saying how to respond as a
17 society to this epidemic and how can we stop it.

18 I would like us to open up with the
19 testimony at this time, we have testimony from
20 four sources, and we will begin today with the
21 panel of presenters to help us start with a
22 common understanding of certain basics associated
23 with the HIV testing.

24 Doctor Paul Cleary will provide a broad

1 overview of the topic. Doctor Kate Cauley from
2 the Intergovernmental Health Policy Project at
3 George Washington University will discuss the
4 various state and legislative trends. Ms. Marie
5 St. Cyr from the Women And AIDS Resource Network
6 will discuss the challenge of testing in
7 different populations. Doctor John Ward for the
8 Centers For Disease Control will review the types
9 and standards of the various HIV tests. There
10 will be a brief time for some questions and
11 answers after each presentation.

12 There has also been a request for the
13 presenters to go up to the podium since there is
14 some type of audio need at this point.

15 DR. CLEARY: Good morning. I am
16 delighted to have this opportunity to raise some
17 issues. I also would like to act on Reverend
18 Allen's comments that this should be based on the
19 needs of people. I would like to emphasize that
20 my comments will not be academic concepts or
21 concerns but rather are driven by my experience
22 over the past several years of more than 800
23 people that we have worked with, provided support
24 to. So this is a very deep issue for me.

1 Available screening techniques for
2 antibodies to HIV are very accurate and, if used
3 appropriately, have the potential of helping to
4 reduce the spread of HIV infection and may
5 provide information that could result in better
6 medical treatment for some individuals.
7 Frequently, the rationale for screening usually
8 has not been explicated clearly, and programs
9 have been implemented in a way that limits their
10 potential effectiveness. Discussions and
11 decisions about HIV testing seem to have been
12 driven more by political and ideological
13 considerations than by careful analysis of the
14 goals of such programs, the potential impact that
15 they will have on individuals and the public
16 health, and the ways to maximize their
17 effectiveness.

18 I will review a number of considerations
19 that should be part of any such analysis. It is
20 important to emphasize first, however, that
21 screening programs often can serve multiple
22 purposes, and it is important to consider the
23 efficacy and effectiveness in achieving each of
24 these goals.

1 Four broad goals that frequently arise in
2 this respect are: Public Health - to provide
3 information to infected individuals that would
4 help them maximize their own health outcomes and
5 reduce the chance of transmission of HIV
6 infection to other; Clinical Treatment - to
7 provide information that would help individuals
8 and clinicians make clinical decisions; Others'
9 Right to Know - to provide information to
10 providers that would help them reduce the risk of
11 infection to themselves; and Epidemiology - to
12 provide information about the course of the HIV
13 epidemic. I will discuss the first three of
14 these today.

15 HIV antibody tests will be most effective
16 if used in situations that minimize the relative
17 number of test errors, if they are administered
18 to as many high risk individuals as possible, if
19 they are used in situations that result in the
20 maximum amount of new information and in which
21 efficacious action is possible, and if the way in
22 which the tests are used increase or maintain the
23 public's confidence in the medical and public
24 health systems.

1 In addition, the purposes, implementation,
2 and consequences of a testing program must meet
3 the ethical standards of our society. In
4 general, a careful analysis of the potential
5 benefits and harms from the test should support
6 the argument that the proposed program is the
7 most effective and efficient way to achieve the
8 stated goals and is ethically acceptable.

9 I will now discuss some of those issues.
10 Let's first speak about test accuracy. The
11 utility of a screening or diagnostic test is
12 obviously a function of the accuracy of the test.
13 When screening for HIV infection, there are a
14 number of reasons the test may be inaccurate.
15 The most commonly used HIV screening tests are
16 antibody tests, and a person may not have
17 antibodies to HIV at the time of testing and any
18 test will give an inaccurate result in at least a
19 small proportion of cases. I will not dwell on
20 that topic today because I think it has received
21 a disproportionate amount of emphasis in the
22 public debate about testing.

23 It is important to note that although a
24 series of HIV screening tests can be extremely

1 accurate, the relative number of errors will
2 depend on the prevalence of HIV infection in the
3 population being tested, and it is important to
4 conduct a careful and realistic evaluation of the
5 likely number of false positive and false
6 negative results that will occur in a given
7 population.

8 Next I will talk about participation.
9 Assuming that one had an appropriately targeted
10 and efficacious testing and counseling program,
11 it would be desirable to maximize participation
12 by high risk individuals. Although this
13 principle probably is self-evident, the features
14 that would maximize participation are not
15 obvious. What is well established is that there
16 is often a great deal of misunderstanding about
17 HIV testing and that many people are very fearful
18 of both the results of the testing and of
19 breaches of confidentiality.

20 For example, a recent study of
21 seropositive blood donors indicated that a
22 quarter did not realize that their blood was
23 going to be tested, in spite of substantial
24 efforts to implement informed consent procedures.

1 Even when individuals are knowledgeable
2 about HIV testing procedures, concerns about
3 confidentiality may limit participation by high
4 risk individuals. A study in Oregon found that
5 the availability of anonymity increased overall
6 demand for testing by 50 percent. The overall
7 figure would have been substantially higher
8 except that the increase in demand was only 17
9 percent for intravenous drug users.

10 Another concern many individuals have
11 concerns the notification of sexual contacts. A
12 study in Japan found that about 30 percent of
13 students and workers and 45 percent of homosexual
14 males would refuse to be tested if test results
15 were to be given to the government.

16 The next topic is new information and
17 effective action. It is very important to
18 evaluate the marginal usefulness of any screening
19 test in terms of the new information provided
20 and/or behavior change. That is, given what the
21 patient knows and what the provider knows about
22 the patient, does the test yield important new
23 information and are there effective responses to
24 that information? Tests have the greatest

1 positive impact if the results lead to clear
2 actions to prevent further transmission of the
3 virus or specific clinical interventions in the
4 interests of the patient that would not be taken
5 in the absence of test information.

6 If a patient is an intravenous drug user
7 and evidences an opportunistic infection
8 characteristic of AIDS, then the physician would
9 know that the patient is almost certainly
10 HIV-infected, without the benefit of an antibody
11 test. Even if the patient had significant risk
12 factors for infection yet showed no evidence of
13 infection, the physician probably should behave
14 as if the patient were infected in terms of
15 providing behavioral counseling to the patient
16 and taking precautions to prevent the spread of
17 current or future infection. Conversely, a
18 person in a very low prevalence area of the
19 country who reports no risk factors is almost
20 certainly not infected.

21 In the first instance, the test would
22 yield little new information; and in the second,
23 the test would not result in behavior that was
24 substantially different from what would be done

1 in the absence of test information. Similarly,
2 it would be extremely unlikely that an HIV test
3 would provide new information to the low risk
4 individual described.

5 In situations in which the test does
6 provide new information, either positive or
7 negative, it is important to consider whether
8 that information can be used in an efficacious
9 way. The data are mixed as to whether many
10 existing testing and counseling programs result
11 in important behavior changes.

12 For example, McCusker and colleagues found
13 that awareness of positive HIV antibody test
14 results was associated with slight reductions in
15 certain behaviors, but not others. A major
16 limitation of our knowledge in this area is that
17 almost all published reports of the impact of HIV
18 testing and counseling programs have involved
19 self-identified high-risk homosexual men.

20 A related issue is that the risk behaviors
21 for HIV infection or subsequent transmission are
22 very complex behaviors and inherently difficult
23 to change. Methods for counseling seropositive
24 individuals currently are being evaluated and it

1 is not yet known how to intervene most
2 effectively with seropositive individuals. It is
3 clear that this is a complicated task requiring
4 familiarity with many of the complex clinical and
5 behavioral aspects of HIV infection. Since many
6 physicians are not well trained in these areas,
7 this is an important limitation to keep in mind
8 when planning a testing program.

9 I will now address the cost benefit ratio.

10 The above considerations are important to
11 consider in combination when designing an HIV
12 testing program. In addition, there are several
13 general principles that should be considered.
14 Testing usually is recommended only in situations
15 in which it is the least costly or restrictive
16 means of accomplishing a particular clinical or
17 public health goal. Implicit in such
18 considerations is a rigorous cost-benefit
19 calculation: The potential benefits and specific
20 consequences of testing; the potential costs and
21 detriments; the attributable prevention of using
22 the test versus opting not to use it; whether
23 comparable outcomes can be achieved at lower
24 personal, social, and/or financial costs.

1 The basic ethical principles of respect
2 for individuals, beneficence, justice, suggests
3 that the purpose of the screening must be
4 ethically acceptable; the means must be
5 appropriate for accomplishing the purpose;
6 individuals have the right to be informed of the
7 results; confidentiality must be protected;
8 sensitive and supportive counseling must be
9 available before and after testing.

10 Certain types of programs would entail
11 significant social costs. For example, drawing
12 blood and testing against a patient's express
13 wishes is contrary to the core values of the
14 therapeutic relationship; trust and
15 voluntariness. To upset those values in a case
16 where there is not prophylactic public health
17 purpose in preventing disease may not be
18 justified.

19 A feature that is rarely mentioned when
20 clinical testing programs are evaluated is the
21 symbolic impact that such a program will have. A
22 positive impact on the public trust might be that
23 a group who previously felt neglected thought
24 that the issue of HIV infection was being

1 carefully addressed and that societal resources
2 were being used to try and stem the epidemic in
3 that population. If, on the other hand, a
4 testing program were viewed as an inefficient,
5 inadequate, or a punitive program, this would be
6 an important negative impact of the program.
7 Such a negative outcome is undesirable from a
8 societal or ethical point of view.

9 In addition, there may be very practical
10 reasons for avoiding programs that negatively
11 affect the public trust. Public health efforts
12 are inherently collaborative efforts that require
13 public acceptance and participation to be
14 effective. From this perspective, a program that
15 precluded such participation might seriously
16 compromise a wide range of related public health
17 efforts.

18 An example of a bad policy with respect to
19 these principles is U.S. policy regarding travel
20 and immigration. The policy restricting travel
21 and immigration violates international law and
22 global health guidelines. From both a global and
23 a national perspective, testing and exclusion of
24 international travelers is a specious public

1 health policy, for it does not reduce the
2 reservoir of infection in the world or alter high
3 risk behaviors. This is especially ironic,
4 considering that U.S. citizens have and will
5 continue to spread more infection to other
6 countries than vice versa.

7 This policy does not meet the standards
8 specified earlier as evaluation of false positive
9 and false negatives; encourage participation;
10 provide new information and precipitate effective
11 action; enhance public confidence; cost-benefit
12 ratio; basic ethical principles.

13 Perhaps one of my most fundamental and
14 important conclusions is that in any testing
15 program, patients should give explicit consent to
16 be tested and should have the opportunity of
17 refusing testing, even in situations such as a
18 needle stick injury, where an intuitive analysis
19 might suggest that testing should be conducted
20 irrespective of the patient's wishes.

21 Some physicians argue that there is no
22 need to obtain informed consent for an HIV test
23 because no physical harm results from a serologic
24 test. Information that must be disclosed to the

1 patient has usually been confined to physical
2 risks, not social harms. Consent is critical
3 because of the particular contemporary personal
4 and social significance of HIV infection,
5 however. Serious psychological and social
6 consequences are just as relevant for the patient
7 as are physical effects of the diagnostic or
8 therapeutic interventionl.

9 HIV test results are, without question,
10 relevant to important health care decisions and
11 have serious psychological and social
12 consequences. As with many medical tests that
13 predict grave or fatal diseases, some patients
14 prefer to know the information, while others do
15 not. Some patients who are informed that they
16 are HIV positive, particularly if they did not
17 even know they were being tested, would bear an
18 intolerable psychological burden.

19 There is a real risk of severe emotional
20 consequences, even suicide, following an HIV
21 positive test result.

22 There are also serious social consequences
23 of a positive HIV test that need to be weighed
24 carefully by reasonable patients against the

1 potential personal benefits of knowing their HIV
2 status. The justification for fully informed
3 consent to HIV testing, then, is that it respect
4 a patient's autonomy and privacy in law; it
5 complies with well-accepted clinical standards of
6 care by providing a critical opportunity for
7 counseling and education; and it maintains the
8 ethical integrity of the medical profession and
9 dignity and worth of the patient.

10 Screening tests have the best performance
11 when conducted within a population or subgroup
12 with high prevalence of infection.

13 Unfortunately, it usually is difficult, if not
14 impossible, to define groups at high risk of HIV
15 infection without being presumptuous or
16 discriminatory. Many high risk behaviors are
17 stigmatized or illegal and any effort to identify
18 persons who engage in them would likely lead to
19 discriminatory practices or procedures that would
20 alienate those at greatest risk of infection from
21 the medical care system.

22 These issues are highlighted if one
23 considers proposals for routine testing of all
24 hospitalized patients. Concerns about the risks

1 of nosocomial infection are legitimate and must
2 be addressed. Every effort should be made to
3 improve the universal precautions already in
4 effect. The available evidence suggests that in
5 spite of the increasing risks to health care
6 workers, many still fail to consistently exercise
7 routine cautions. Research into techniques for
8 lowering the incidence of needle sticks and
9 surgical injuries must be expedited. Programs
10 designed to educate health professionals about
11 procedures and techniques for avoiding exposure
12 to blood need to be implemented to further reduce
13 the existing risks of transmission.

14 In-hospital programs also should assess
15 the important questions of risk perception and
16 provide for effective interventions.

17 Furthermore, health professionals should have a
18 greater opportunity to assess the significance of
19 working under conditions in which uncertainties
20 will, of necessity, persist. Only by clearly and
21 openly addressing these concerns will it be
22 possible to develop a set of rational hospital
23 responses to the epidemic.

24 If we are to slow the transmission of HIV

1 in the United States, it is important that we
2 identify, educate, and counsel as many infected
3 and seronegative high risk individuals as
4 possible. Since hospitals have the technical
5 capacity for HIV testing, hospitalization can
6 provide an optimum opportunity for screening
7 individuals. However, the most important aspect
8 of any screening program must be to maximize the
9 probability that the test results lead to
10 positive behavior changes. These conditions will
11 be achieved only if patients are assured of
12 anonymity, or at least confidentiality with no
13 possibility of subsequent repercussions and if
14 the screening program is followed by a
15 well-developed and careful counseling program.

16 My specific recommendations to the
17 Commission are that more work should be done to
18 coordinate and monitor standards for HIV
19 screening test protocols and enforce those
20 standards nationally. One problem that has caused
21 a great deal of confusion is lack of uniform
22 standards for certain tests, for example. More
23 rigorous procedures should be established for
24 monitoring the performance of laboratories

1 performing tests. Monitoring programs such as
2 those conducted by the American College of
3 Pathologists could be extremely useful in this
4 regard.

5 Programs should be developed to train
6 health professionals concerning the advisability
7 of testing for different types of persons, the
8 interpretation of test results, and the meaning
9 of HIV infection. These programs should train
10 physicians, nurses, and other health
11 professionals in such topics as the epidemiology
12 of HIV infection, and the natural history of HIV
13 infection.

14 Programs should be developed to train
15 health professionals concerning how to provide
16 support to and encourage behavior change among
17 seropositive individuals.

18 In order to maximize the impact of testing
19 programs, they should in almost all cases be
20 voluntary and anonymous. If it is not possible
21 to insure anonymity, rigorous procedures should
22 be established to ensure confidentiality.

23 The importance of developing training
24 programs for medical and paramedical

1 professionals cannot be overemphasized. We all
2 share a common goal of reducing the spread of HIV
3 infection. Since the virus can only be spread,
4 for all practical purposes, by a limited number
5 of behaviors, it is critical that we focus extra
6 effort on developing strategies for modifying
7 those behaviors among all individuals and
8 especially among HIV infected individuals.

9 CHAIRMAN ALLEN: Doctor Ward, we
10 will save questions until later. Does anyone
11 have a question for Paul at this point?

12 MR. DALTON: Early on in your
13 remarks, you mentioned a study involving people
14 who appeared at blood donor sites. You said that
15 25 percent, I think, didn't realize they had been
16 tested in spite of informed consent. Are you
17 saying that all of the 100 percent of the people
18 were given informed consent prior to being
19 tested?

20 DR. CLEARY: I'm referring to our
21 work at the New York Blood Center, and the
22 population, just seropositive individuals. All
23 persons tested are given information about
24 testing and in fact sign informed consent

1 procedures.

2 When we counsel HIV-infected confirmed
3 positives, we ask them, did you realize that your
4 blood would be tested. The number has increased
5 over the past three years, but it is still about
6 25 percent who say no, they didn't realize.

7 MR. DALTON: Tested at blood donor
8 sites?

9 DR. CLEARY: At blood donation.

10 MR. DALTON: Is it your
11 understanding that these people were in fact
12 given an informed consent but didn't remember it,
13 or is this a common --

14 DR. CLEARY: It's my understanding
15 that everyone was given an informed consent.
16 They were told about the test and were given
17 pamphlets. But in many cases it's material
18 people don't attend to or don't understand.

19 The point is this is very complicated
20 information. These kinds of information and
21 follow-up is often done in a very perfunctory way
22 and is not adequate to maximize HIV testing.

23 MS. DIAZ: Just one question. I
24 wanted you to repeat your statement about the

1 testing in hospitals, and I'm concerned that the
2 hospital population would just yield the same
3 results as general population because we don't
4 have any data to say that they would be in higher
5 prevalence status.

6 DR. CLEARY: I'm sorry, I do not
7 understand.

8 MS. DIAZ: The statement that you
9 made regarding the possibility of testing in
10 hospitals. Could you just repeat that? That
11 wasn't clear. Why would that not yield the same
12 amount of false positives as testing in general
13 populations?

14 DR. CLEARY: I think testing in
15 hospitals would be as accurate, if not more
16 accurate, depending upon the prevalence in the
17 area of testing in the population.

18 MS. DIAZ: You weren't suggesting
19 that that should be done, correct?

20 DR. CLEARY: No. I would suggest
21 that screening in hospitals should follow the
22 principles that I laid out. That high risk
23 individuals should have testing. I think the
24 primary concern is to distinguish between routine

1 testing, mandatory testing and confidential or
2 anonymous testing in the context of a clinical
3 relationship. I would favor the latter, and I
4 would emphasize that if any testing is done, the
5 only way to potentiate its impact is very
6 carefully constructed information and counseling
7 and support programs. I do not support routine
8 or mandatory testing.

9 MS. DIAZ: But you would be in
10 favor of identifying high risk patients that come
11 to a hospital?

12 DR. CLEARY: I think in the context
13 of a clinical doctor/patient, nurse/patient
14 relationship, individuals should be informed
15 about HIV infection. They should be made aware
16 of opportunities for testing for HIV infection,
17 and should be told of the potential risk and
18 benefits of that testing.

19 CHAIRMAN ALLEN: One more
20 question.

21 DR. SMITH: What are your thoughts
22 about testing for children? We tend in this
23 state to be rather liberal. We realize that
24 early identification is probably very important.

1 DR. CLEARY: I think that's a very
2 good consideration. I would probably follow the
3 same ethical principles that we follow in terms
4 of other procedures and tests, and either with
5 the mother or the guardian engage in a discussion
6 about the benefits of doing that, the
7 availability of therapeutic intervention.

8 CHAIRMAN ALLEN: Thank you very
9 much. Doctor Ward from the CDC.

10 DR. WARD: Good morning, everyone.
11 It is a pleasure to be here. I've been asked to
12 make a few remarks regarding the scientific
13 aspects of testing both in terms of what types of
14 tests are currently available, how they are
15 performed and how well they perform.

16 The first test that was licensed for use
17 in the United States was licensed on March 2 of
18 1985. They were HIV antibody tests. Since that
19 time, they have been shown to be highly sensitive
20 and highly specific tests. As a result of that,
21 they have been recommended for an increasing
22 number of public health uses as well as clinical
23 uses. And, also, because they perform so well,
24 they remain the major way of identifying

1 HIV-infected persons, in spite of the development
2 of other types of assays that I will briefly
3 describe.

4 Currently, there are eleven tests licensed
5 by the Food and Drug Administration to identify
6 HIV-infected persons. All eleven of those are
7 HIV antibody tests. Nine of those are based on
8 one type, which is known as the Enzyme Immuno
9 Assay, or EIA. I want to briefly describe what
10 an EIA test is. All of these nine assays use
11 disrupted whole virus, where they throw the virus
12 in the culture, break up the virus and place it
13 on beads, and then you add the patient's serum to
14 those beads or wells. And if the antibody
15 specific for the HIV virus is present, it will
16 latch onto these bits and pieces of the virus
17 there and remain there.

18 Then you add a second antibody, which is
19 very specific for human antibody, and that
20 latches onto the human antibody. So you really
21 develop a sandwich, and that's why you have a
22 sandwich assay. Attached to that animal
23 antibody, which identifies the human antibody, is
24 a compound that when you add a solution to it

1 will cause that solution to change color. It's
2 the intensity of this color reaction that
3 determines whether the test is found to be
4 positive or negative, based upon a cutoff date
5 that's determined by the manufacturer. The
6 intensity of the color reaction is measured with
7 a special system. It's an automated system. It
8 gives you a numerical value that is important to
9 keep in mind because you can use that value to
10 determine the intensity of the test and help you
11 decide if the test is truly positive or falsely
12 positive.

13 Another advantage of these tests is that
14 they are very short to perform. It will only
15 take you about four to six hours to perform a
16 test. Now, the test value that's above this
17 cutoff value is called a reactive test. All
18 reactive tests should be repeated. If it's
19 repeatedly reactive, it's called a positive test,
20 and all positive tests should be confirmed by the
21 use of a second additional test, either a Western
22 blot assay or an immunofluorescent assay or ISA.

23 Now, the sensitivity of these ISAs has
24 been shown to be 98 to 99.5 percent accurate the

1 Food and Drug Administration testing panels.

2 Persons who may be at increased risk of being
3 found negative, even though they are infected, or
4 persons who have been recently infected with the
5 virus and have not yet developed a detectable
6 antibody that can be picked up on the test, or in
7 very late stages of AIDS that the immune systems
8 have been immunized that the antibodies have
9 dropped below the level. The specificity of the
10 test also has been shown to be very high.

11 In the study that I did that was published
12 in the Journal of the American Medical
13 Association, we came up with an estimate of 99.85
14 percent specificity. That's the ability of this
15 test to remain negative when testing uninfected
16 persons. In summary, when you look at the test
17 itself, specificity and sensitivity are very
18 high.

19 The other thing you want to know about a
20 test is what is the predictive value of a test.
21 The test itself does not determine that. The
22 population that you're testing determines the
23 value of that. In other words, if you get a
24 positive result, what are the chances of that

1 individual being positive or being infected. And
2 that is determined by part of the risk for that
3 individual to be positive. That is an important
4 reminder, that you always want to know the
5 clinical background of a person whenever possible
6 when testing someone. That is not always
7 possible in certain screening programs, but
8 clearly in a clinical setting you always want the
9 background.

10 Now, the specificity of a test may be
11 influenced by several factors of the person so
12 they may get false positive reactions in women
13 who have had children, for instance, people who
14 have received multiple blood transfusions, and
15 some other medical conditions. But for the most
16 part, these tests function very well.

17 The Western blot assay, as I mentioned, is
18 the test that's performed most commonly to
19 confirm the result of the EIA test. The Western
20 blot is also an antibody test. It also uses
21 disrupted or broken up virus, which is spread on
22 a piece of paper. That's why it's called a
23 blot. Electric current is passed through that
24 which allows the various proteins of the virus to

1 migrate out on the basis of their molecular
2 weight.

3 You place the patient's serum on this
4 paper. The antibody, if present, will bind to
5 the specific proteins, and you get specific bands
6 along this paper corresponding to where the
7 antibodies latch onto the various spiral
8 proteins. This banding pattern is very specific
9 for HIV-1 and for HIV-2, for that matter. In
10 that way, the Public Health Service has issued
11 guidelines as to what bands need to be present in
12 order to call a Western blot positive.

13 The Western blot is used as the second
14 test because it's very, very specific because of
15 these banding patterns that are so specific. The
16 problem with the Western blot is that you get a
17 fairly large number of indeterminate results
18 where you can't tell if someone is truly positive
19 or truly negative. In that situation, it's
20 recommended that you get a second specimen a few
21 months later to see if the banding pattern has
22 become more specific.

23 And one ELISA test should never be used to
24 confirm the results of another ELISA test.

1 In regards to the proficiency of
2 laboratory testing, the CDC initiated a program
3 back in 1986 called the Model Performance
4 Evaluation Program where we regularly send out
5 proficiency programs to, in 1989, we sent out
6 1400 proficiency panels to laboratories to see
7 how well they do in testing for HIV antibody. We
8 then, these specimens are blinded, some are
9 positive, some are negative, by our laboratory,
10 and then when we come back, we collate the
11 information and send back out the test results to
12 these participating laboratories so that they can
13 see how well they are doing in relation to other
14 laboratories.

15 We also collect additional information
16 from these laboratories, including the types of
17 test kits they are using, the types of
18 confirmatory tests that are being used, other HIV
19 antibody tests that may be available in that
20 laboratory setting, and we regularly publish that
21 data, and the 1990 edition just came out last
22 month. I will be happy to provide that to the
23 Committee on request.

24 One other test that's currently available

1 to test HIV persons that may be at risk for HIV
2 infection is the HIV antigen test. This detects
3 the virus itself in the blood rather than the
4 antibody. But it's very similar in design to
5 these other EIAs, and that is it's a sandwich
6 assay except it's starting with virus. And if
7 the antigen is present in the serum, it latches
8 on and you identify it again through a color
9 reaction.

10 The antigen test can only detect free
11 antigen. And for most of the course of HIV
12 infection, HIV antigen, or bits of the virus, are
13 also complex antibody, and the antibody covers up
14 the antigen. It doesn't allow the antibody to
15 identify the antigen.

16 The only course in detectable quantities
17 is very early in infection, before antibody has
18 been produced by the body, or very late in the
19 course of infection, and that's why it's
20 frequently been mentioned as a prognostic marker
21 for the development of AIDS, as the development
22 of detectable HIV antigen. It was also believed
23 that perhaps the HIV antigen test can help us
24 identify better, and was an issue promoted to be

1 a second test that could be used by blood banks
2 to identify persons who were infected but did not
3 have detectable antigens.

4 We recently completed a study with the FDA
5 and major blood banking organizations to show
6 that the HIV antigen test has little, if any,
7 benefit in screening donated blood. And as a
8 result, when licensed by the FDA, it was
9 recommended that it not be used to screen donated
10 blood and plasma in the United States. So right
11 now, the HIV antigen test has fairly limited
12 capability.

13 Several other tests that are available is
14 chain reaction, which is a research test that can
15 identify HIV specific DNA in specimens, and HIV
16 culture, where you place lymphocytes from
17 infected individuals into a culture media and
18 assay for replicating the virus. The PCR is a
19 very sensitive test. As a result of that, false
20 positives are a problem. The HIV culture test is
21 only accurate in about 85 to 95 percent of
22 seropositive individuals and has very limited
23 clinical or screening utility.

24 So, in summary, the HIV antibody test,

1 particularly the enzyme immuno assays, continue
2 to be the mode that we use to identify HIV-
3 infected individuals. These are antibody tests
4 and continue to show really superior performance
5 in comparison to some culture methods or antigen
6 methods. Thank you very much.

7 CHAIRMAN ALLEN: Any questions?

8 MR. LEVI: You mentioned the annual
9 efficiency study of laboratories. I think that
10 is a critical issue if we are going to be
11 considering wider use of antibody testing. It is
12 one thing for it to be a highly accurate test at
13 the CDC or NIH, but it's important to know the
14 real world experience.

15 This is a two-part question. The first
16 part is if you could share with us most recent
17 data that you released?

18 DR. WARD: The problems that we
19 have identified have not come from the
20 proficiency of the participating laboratories,
21 for the most part. They tend to function very,
22 very well and having a high concordance with our
23 proficiency panel of testing.

24 The problem we have identified has been in

1 the way that these results are communicated to
2 the person ordering the test; that it may not be
3 in a way that's understandable or that's clear
4 and, in fact, is in some cases flat incorrect in
5 the way it's presented in terms of giving the
6 clinician sufficient information to know what
7 that test result really meant. It wasn't clear,
8 as an example, whether a Western blot was done to
9 confirm the ELISA, which is definitely a
10 necessary second test and needs to be performed.

11 MR. LEVI: Are you saying that the
12 physician wasn't told whether or not it was done,
13 or are you saying that labs weren't necessarily
14 doing it?

15 DR. WARD: The lab did the second
16 confirmatory test but did not communicate that to
17 the physician. And that's important information
18 for a clinician to know, obviously, because in
19 the situations, if you have a laboratory that's
20 not doing that test and you assume that they are,
21 that needs to be up front and explicitly stated
22 so they know exactly what tests were performed.
23 So I think that is a very real problem.

24 The other problem may be, although it's

1 something a little out of the realm of the
2 laboratory, but I think the laboratory has some
3 obligation to instruct the Commission as to what
4 those tests mean, what they can and cannot tell.
5 It's not clear to us how well clinicians are
6 interpreting that data. If you can't interpret
7 data, you shouldn't order it, but you can't
8 guarantee that.

9 But as far as our proficiency testing,
10 there was some concern about how well this
11 information was being presented to the
12 clinicians.

13 MR. LEVI: The comment which you
14 made, no physician should be ordering a test that
15 he or she doesn't know how to interpret or
16 doesn't understand what its implications are, and
17 that is a fairly frightening prospect,
18 particularly if we are talking about much, much
19 wider application in conventional care settings
20 of the test.

21 The follow-up question is there are also
22 these quick tests, there's at least one that I
23 know of, that have been licensed, and that does
24 not involve sending the specimen to a laboratory

1 but can be done in a physician's office, at least
2 the initial test before a confirmatory test.

3 Has the CDC done any proficiency testing
4 in that regard in terms of -- I don't know
5 whether the people who do lab work in a doctor's
6 office are more or less well-trained than those
7 in the laboratories. Have you done any studies
8 in that regard?

9 DR. WARD: As you mentioned, there
10 is only one licensed test that is a short,
11 rapidly read test, and that's the Cambridge
12 Bioscience Assay. There appears to be real
13 problems with that assay in terms of it's very
14 important that the technician performing the test
15 have expertise in reading the test. It's a
16 subjective test.

17 As I mentioned, most of these antibody
18 tests will give you a numerical value, which is a
19 subjective test. These tests have to be read
20 with the naked eye, so it's very subjective as to
21 whether the color reaction is of particular
22 sensitivity to be called positive. You need a
23 very bright light source, for instance, and a
24 technician who has done a number of these tests.

1 Our proficiency panel, there are very,
2 very few of our 1400 participating laboratories
3 that use the test. So very few of these labs use
4 this test, so we don't have a real idea among our
5 proficiency panels how well this test is doing.
6 But there have been a number of published studies
7 demonstrating problems with reading this test and
8 getting the correct answer. And the FDA is
9 actually working with the manufacturer to
10 identify what the problems are with the
11 interpretation of the assay.

12 CHAIRMAN ALLEN: Excuse me, Jeff,
13 if you could save these questions for the round
14 table?

15 MR. GOLDMAN: First of all, I have
16 one very quick question. I want to know whether
17 or not the IFA has the same specificity and
18 sensitivity as the ELISA.

19 DR. WARD: I've never seen any
20 studies with immunofluorescent assays as far as
21 specificity. The problems with the IFA, they are
22 no available standardized free agents. There is
23 a licensed Western blot assay that people can use
24 as a second test. People who have good

1 familiarity of IFA and in processing their own
2 reagents, those laboratories do show very high
3 levels of sensitivity and specificity. But since
4 there are no standardized reagents, the Public
5 Health Service favors the Western blot since you
6 do have them that are approved by the FDA.

7 MR. GOLDMAN: I would like to ask
8 you another question. Would you suggest, we're
9 talking about dealing with policy, I don't think
10 we can be focused on what the current technology
11 is today right now at this very moment. We have
12 to talk about what kind of tests are likely to be
13 available over the next reasonably foreseeable
14 future, what their sensitivity, specificity,
15 availability, what kind of settings those tests
16 might be able to be done from a technical
17 perspective.

18 Do you have any thoughts on where the
19 world of testing will be from a technical
20 perspective, say, three to five years from now?

21 DR. WARD: I think there will be a
22 greater number of these rapidly read assays that
23 will be coming down the pike. There are a number
24 that are in development by a number of different

1 companies. They are based on common protein
2 technology. They are using synthetic peptides,
3 which are just proteins which mimic the
4 configuration of proteins in the HIV virus, or
5 you put the genum into bacteria and the bacteria
6 will begin to produce the viral proteins that you
7 want to incorporate into these tests. That makes
8 it highly likely there will be increasingly more
9 cleaner tests.

10 So I think what you'll see as far as
11 antibody testing is concerned will be, there's
12 several tests that you can read within ten or
13 twenty minutes now that will probably be much
14 more commonly available in the very near future.

15 MR. GOLDMAN: Will they have the
16 same degree of specificity and sensitivity as the
17 ELISA test?

18 DR. WARD: It certainly appears
19 that way. Whether you will need a second
20 additional test for those, that will remain to be
21 seen. I think you will still probably want to do
22 that and maybe delay the final result as it has
23 currently, as the Western blot takes usually a
24 day to perform. But you will get more rapid read

1 tests in the future.

2 CHAIRMAN ALLEN: Excuse me. We are
3 getting crunched for time here. Harlon had a
4 question. If you could write down the question,
5 and bring it up to the round table. We have two
6 more presenters and a time crunch here.

7 MR. DALTON: This has to do with
8 the possibilities for standardization. As I
9 recall, you said that the Public Health Service
10 guidelines -- Is it possible to have some
11 standardization?

12 DR. WARD: The reason that the
13 cutoff is set by the individual manufacturer in
14 the ELISA test is that each test will give you a
15 various cutoff and you use various positive and
16 negative controls to determine the cutoff. So
17 the cutoff is determined in consultation with the
18 FDA before the test is licensed and how that
19 cutoff is derived. But you derive it as part of
20 the instructions.

21 And the Western blot, as I said, we have
22 tried to standardize the interpretation of that
23 test because it is a subjective test. The IFA
24 will, I think, in the near future will continue

1 to be a problem because it's not a test that is
2 being done in very many places. There is no way
3 I am aware of to standardize reagents, that I am
4 aware of. But the laboratories that are familiar
5 with it, it is a very good test.

6 CHAIRMAN ALLEN: Thank you. And
7 John is going to be with us for both days.

8 Doctor Cauley? This is on state
9 legislative trends.

10 DR. CAULEY: I appreciate the
11 opportunity to speak with you. I might just
12 begin my comments by suggesting you all have
13 copies of my speech if I speak too quickly or
14 certain points need to be clarified.

15 As was mentioned, I represent the AIDS
16 Policy Center in Washington D.C. We have been
17 monitoring and analyzing legislation in the
18 states related to HIV/AIDS since 1983, although
19 the Center has officially been in existence since
20 1987. I took the liberty of also distributing
21 the most recent copy of our newsletter that is a
22 general overview of all 1989 legislation. I hope
23 you will find that interesting and useful.

24 Essentially, I would like to identify what

1 has been happening with the states in terms of
2 legislation around testing, both from a
3 historical perspective. What I think you'll find
4 is that most legislation in the states is
5 directly or indirectly related to testing in some
6 way. I suspect that is self-explanatory. Any
7 measures designed to protect public health rely
8 on knowledge of a person's HIV status. The
9 protection of individual liberties frequently
10 drives procedures to insure confidentiality of
11 reporting and disclosing HIV test results.

12 Overall, legislation in the states related
13 to HIV/AIDS has been relatively consistent. The
14 trend has been towards emphasizing the need for
15 voluntary testing based on informed consent with
16 pre- and post-test counseling, bolstered by
17 strong protections of confidentiality of the HIV
18 test information. And policies have generally
19 been designed to reduce impediments to
20 individuals who seek testing and to encourage
21 people to make the selection to be tested.

22 Additionally, within some special
23 populations, particularly prisoners or
24 prostitutes, as examples, some legislatures have

1 authorized testing without consent of the
2 individuals. And even fewer states have actually
3 mandated that testing must take place. The
4 legislation has been consistent in prohibiting
5 use of HIV tests to restrict access to care or
6 services.

7 One of the first pieces of HIV legislation
8 related to testing was drafted in California in
9 1985, and in fact it required the designated
10 counties offer HIV testing free of charge on a
11 confidential basis. At this point, most, many
12 states are reflecting this desire to encourage
13 voluntary testing. They have offered various
14 alternative test sites, anonymous testing,
15 confidential testing. At this point there are 17
16 states who have legislated that testing be
17 available both on a confidential level and on an
18 anonymous level so that people had a choice.

19 Proponents of anonymous testing, as we
20 heard in previous speakers, argued that people
21 are much more likely to be tested when the
22 opportunity for anonymity is present. A study in
23 Georgia, for example, demonstrated that following
24 the introduction of anonymous testing option

1 there was a 50 percent increase in the demand for
2 testing, and twice as many seropositive persons
3 were identified in the first three and a half
4 months following the introduction of anonymous
5 testing.. I think that's important to remember.

6 And another factor that the states have
7 been including in legislation has been the
8 necessity for pre- and post-test counseling. In
9 fact, 24 states now mandate that there will be
10 pre- and post-test counseling with testing, as
11 our previous speaker has pointed out, that's not
12 always a guarantee that people receive the
13 information but the legislation has required
14 that. An example would be in a 1989 law for
15 Maryland which provides for pre-test counseling
16 with the test subject, including education about
17 HIV infection, transmission and prevention
18 methods, information about the physician's duty
19 to warn, and assistance in accessing health care
20 for individuals who test positive for HIV
21 infection.

22 In New York, pre-test counseling also
23 includes information regarding confidentiality
24 protections that extend to HIV related

1 information, and discrimination that may occur if
2 unauthorized disclosures are made, as well as
3 legal remedies that are available to prevent
4 unauthorized disclosure.

5 In Texas, post-test counseling is
6 specified in legislation to include a
7 face-to-face meeting, which addresses the meaning
8 of the test results, the possible need for
9 additional testing, methods of transmission, the
10 availability of appropriate health care services,
11 mental health care services, et cetera, in the
12 patient's geographic location, and a discussion
13 of the benefits and availability of partner
14 programs.

15 As the epidemic grows, more and more
16 states move into moderate to high prevalence
17 status in terms of number of reported cases,
18 thereby intensifying the the need for voluntary
19 confidential and anonymous test sites and
20 appropriate pre- and post-test counseling. We
21 see in the 1990 legislation that that is exactly
22 what's happening. More states are introducing
23 into this current legislative session either
24 improved or changed laws to encourage voluntary

1 testing, or new laws to encourage voluntary
2 testing.

3 Before I address the specific differences
4 in the state legislation about voluntary versus
5 authorized or mandatory testing, I would like to
6 make some definitions that are germane to my
7 remarks only. In referring to state legislation,
8 when you talk about screening, you're talking
9 about epidemiological kinds of programs where
10 this is anonymous process and people are simply
11 being tested to attract the disease.

12 In certain legislation when we talk about
13 routinely offered testing or routine testing, we
14 are identifying legislation that would mandate a
15 particular institution to routinely offer to
16 anyone who comes into the institution the
17 opportunity for testing, but not to require it.

18 And then when we're talking about
19 authorized testing in state legislation, that
20 means that an institution or individual is
21 empowered to require that a person be tested
22 first requesting an informed consent, and if that
23 is not granted, then having to follow the
24 standards of due process procedures of court

1 orders.

2 And finally a mandatory testing, which is
3 in very few state legislations, again, assuming
4 that there will be an attempt initially to an
5 informed consent but that every person is tested
6 who comes into a particular institution. I will
7 define that a little more clearly later.

8 States have in fact taken steps to insure
9 that people are able to make informed choices
10 about being tested for HIV free from coercion and
11 undue influence and with full understanding of
12 the test's purpose and implication. Led by
13 Massachusetts in 1986 and California, Hawaii,
14 Illinois, Maine, Oregon and Wisconsin, in 1987,
15 31 states now require written informed consent
16 before an HIV test can be performed.

17 Some states have begun to offer routine
18 testing for certain populations. Rhode Island,
19 for example, offers routinely HIV tests to its
20 hospital patients and individuals seeking family
21 planning or prenatal care services or individuals
22 applying for marriage licenses. Testing is also
23 offered to patients routinely in Rhode Island of
24 drug treatment centers and clinics for the

1 treatment of STDs.

2 There are four general areas of exception
3 in the state legislation to required written
4 informed consent which include: Testing
5 performed on blood, internal organs, tissue or
6 sperm, which may be used for infusion or
7 transplantation purposes; testing performed in a
8 medical emergency when the patient is unable or
9 unwilling to provide consent, and the test is
10 needed to proceed with appropriate medical
11 treatment; testing performed following a
12 significant exposure to an infected individual;
13 and, testing performed as a part of anonymous
14 research or anonymous test site.

15 Eleven states now have legislation that
16 requires that all blood, internal organs, sperm
17 and tissue donated for transplantation be tested,
18 and some states have provisions that within that
19 context donors are required to provide written
20 informed consent.

21 Additionally, nine states routinely
22 require written informed consent of any blood or
23 tissue or organs that are donated. I think it's
24 also important to note that -- well, never mind.

1 In a medical emergency, there are in fact seven
2 states that allow HIV testing without consent,
3 and there are a number of states which allow HIV
4 testing without consent when it is necessary for
5 appropriate medical treatment and the patient is
6 unable to give consent, or a representative of
7 the patient.

8 If a health care worker or other worker is
9 significantly exposed to blood or body fluids of
10 the patient, there is an exception to the
11 required informed consent rule in the legislation
12 of the states in nine states. However, the
13 legislation of these nine states that allows for
14 this exception usually requires a number of steps
15 be followed before a person can be required
16 against or without their consent to have the
17 test.

18 As an example, in Ohio, in order to
19 justify testing of another without that person's
20 consent when there has been a significant
21 exposure in the workplace, the person who was
22 exposed potentially to HIV infection must swear
23 to the following: While rendering health
24 emergency care, the plaintiff sustains

1 significant exposure; that the plaintiff has
2 reason to believe that the defendant may indeed
3 be infected; that the plaintiff has made
4 reasonable attempts to have the defendant submit
5 to a test; and that within seven days after the
6 exposure the plaintiff himself took an HIV test
7 and received counseling.

8 CHAIRMAN ALLEN: Excuse me. One
9 more minute.

10 DR. CAULEY: Let's move ahead,
11 then, to states which have authorized or
12 mandatory tests in the special populations. This
13 is the legislation that gets the most precedent.
14 I want to just quickly review.

15 In terms of mandatory testing which very
16 few states have actually included, the most
17 restrictive have been Alabama, Georgia, Idaho,
18 Nevada, North Dakota, Utah and Wyoming. In fact,
19 in those states, legislation has been passed
20 mandating testing for all individuals in prison
21 or state county or penal institutions.

22 There are states who authorize testing for
23 prisoners, and a number of states that have
24 specific conditions for testing prisoners.

1 In terms of testing persons accused of
2 sexual or drug-related offenses, there are in
3 fact seven states that mandate testing for
4 persons charged or convicted for sexual offenses,
5 and there are four states that require mandatory
6 testing of persons convicted in drug-related
7 crimes.

8 The other populations, to note very
9 briefly, are people applying for marriage
10 licenses. Most of you are familiar with the
11 statistics in Illinois, that in a year requiring
12 marriage license testing, over 160,000 people
13 were tested, and they came up with 23 cases of
14 HIV infection. The only three states to actually
15 have premarital testing were Illinois, Louisiana
16 and Texas, and the laws in Louisiana and Texas
17 have been repealed.

18 I would like to refer you to page 12 in my
19 written remarks which identifies the specific
20 state legislation in reference to
21 discrimination. I will just conclude by
22 suggesting that in the higher prevalent states,
23 the trend is relatively consistent. Take
24 California, as an example. The first legislation

1 in California was in reference to assuring
2 voluntary confidential testing followed by
3 testing of all blood and organ kinds of
4 donations. And then, very quickly after that,
5 the focus in California was on testing without
6 consent occasionally and with consent for
7 minors.

8 In 1988, California legislation was
9 primarily around whether or not authorized and
10 mandatory testing of special populations such as
11 persons convicted of sexual offenses. And my
12 final point being that in 1989, California law
13 has focused primarily on discrimination
14 provisions, the assistance of counseling both pre
15 and post during a test, and making sure that
16 people have at hand access to voluntary testing
17 with confidential and anonymous options, so that
18 if you look in the states who have not yet begun
19 developing legislation, we find that those are
20 some of the patterns that they follow with those
21 priorities.

22 I will be happy to respond to questions at
23 a time when we are not interrupted so rudely by
24 the fire department.

1 MR. LEVI: I guess I'm a little
2 confused because I saw it in your paper and now I
3 don't remember whether you said it. You talked
4 about states that do have reporting allowing
5 continuation of anonymous testing --

6 (Pause off the record due
7 to fire alarm.)

8 CHAIRMAN ALLEN: Let's take a break
9 and we'll come back.

10 (Recessed at 11:50 a.m.)

11 (Resumed at 12:00 noon).

12 CHAIRMAN ALLEN: Our next presenter
13 is going to be Ms. Marie St. Cyr. We are going
14 to move everything down from the agenda and have
15 the challenge of testing in different
16 populations. Marie is going to come and share
17 with us. Then we'll move right into the programs
18 in Public Health Service.

19 Again, due to the time constraints, about
20 ten minutes and then questions and answers.

21 MS. ST. CYR: I wish to state that
22 ten minutes is unfair, but I will try to keep it
23 to ten minutes.

24 HIV testing as a matter of the policy in

1 public health strategy of prevention has been and
2 continues to be an issue since the early days.
3 Beyond the broad issues of voluntary versus
4 mandatory testing, the efficacy and accuracy of
5 testing, the effectiveness in prevention of
6 testing, today HIV testing for us is a bit of
7 concern as the providers as the medical world
8 move to qualify AIDS as a chronic illness and
9 looking to long-term cures. The fact that we
10 speak of special population may be indicative of
11 how health and social services have dealt with
12 peoples' concern historically, and AIDS is no
13 different.

14 The epidemiology of AIDS has fostered
15 focus on groups. In my AIDS experience working
16 with the Haitian Coalition on AIDS, we face
17 different populations defined by ethnicity,
18 migration, historical antecedents and impact of
19 HIV categorization. The scope of the problem
20 was surely different.

21 Currently, my work with the Women And AIDS
22 Resource Network has me exposed to women and
23 children and their families, and the parameters
24 there are gender, social perception, self-

1 perception, historical as well, in terms of the
2 epidemiology of AIDS, which did not show among
3 women in the early years, and has then allowed
4 for women to feel that they are excluded and the
5 denial on women persists.

6 Unfortunately, we are still looking at
7 women as different groups. Today, the woman in
8 this Resource Network is working with AIDS
9 counseling and education, ACE, a program of women
10 and children impacting on HIV in women's lives.
11 It is against this very general background and
12 brief background that I will list some of the
13 factors in testing which we have looked at.

14 In populations that have fallen under the
15 so-called Amnesty Immigration Law of the 1980s,
16 these immigration laws have allowed for testing
17 without and sometimes with minimal counseling to
18 the populations which are the least prepared to
19 cope with HIV positive testing, and this includes
20 Latin populations. They are the least prepared
21 to cope with HIV positive testing. When
22 recently, that have gone through a physical and
23 medical testing for HIV because of immigration
24 procedures, the population that we see has

1 limited understanding of the test, limited
2 understanding of the result. They are usually
3 looking for a death sentence, and their concerns
4 are mentally focused on obtaining residency and
5 surviving as residents in the United States.

6 Their fear of deportation, coupled with
7 language barriers and other social factors in
8 their own communities, further hinder their
9 ability to cope and deal with an HIV positive
10 test. Your communities which are disenfranchised
11 are attempting to deal with this issue with very
12 limited support and funding.

13 Testing attached to this population is
14 actually a hindrance to treatment. As an
15 example, I will unfortunately select some of the
16 worst examples to make an impact on you. One of
17 the cases that I have worked with in which a
18 couple and a child had to be tested for
19 residency, that is the woman was tested first,
20 she was positive. That positive result has
21 resulted in her abandoning her child and her
22 family, not to be seen for over a year. Later
23 this partner of hers was also tested and tested
24 positive and committed suicide in the basement of

1 where they live.

2 I want to impress on you that there is a
3 serious impact on those peoples' lives, and that
4 we need to be more considerate of the factors of
5 testing in a hurry, in a rush.

6 In terms of the women's population, in
7 recent months agencies like ourselves and
8 agencies around the nation have supported
9 testing, although they had not done so in earlier
10 years. The overwhelming majority of women we
11 work with are coming from communities which are
12 impoverished. They provide limited access to
13 adequate medical care and to adequate support, as
14 well as psychosocial support, such as drug
15 treatment to the populations that are getting
16 tested.

17 The AIDS agencies which attempt to serve
18 these women are in a large part supported by AIDS
19 Institute. And I want to tell you on the average
20 of 30 agencies funded in the minority community
21 in New York City in 1989, the average funding was
22 \$66,000 for these minority communities. I can
23 tell you in New York, \$66,000 cannot cut the
24 needs for HIV support staff as well as material

1 and space.

2 The level of funding to the minority group
3 is simply insufficient to allow for the adequate
4 education and service as well as reward and
5 knowledge of HIV, as well as HIV testing in
6 particular.

7 For the women's population, it's only in
8 the last two years that we have targeted
9 education, and the denial persists among the
10 population. There is an urgent need to intensify
11 outreach education and to allow for small group
12 discussion where the implication for families in
13 terms of testing can be discussed.

14 I think that testing is not justified for
15 these populations for which I have spoken without
16 increased accesibility and availability not only
17 to AIDS related studies and trials but to primary
18 medical care.

19 I want to give you another example. Among
20 the women we have currently at least five women
21 who are self-identified as participating in trial
22 studies, who have stopped taking their
23 medication, including AZT. Although we have in
24 counsel discussed the impact of stopping taking

1 medication with the clients, they declined to
2 reveal this information to medical doctors
3 advising them in their trials. In the trials
4 they received the best possible medical care they
5 can have access to, and they do not want to
6 jeopardize that. That has some impact for
7 scientific research data studies. I think that
8 needs to be considered in a population where
9 people feel that the last resort is to stop
10 taking their medication, and we need to look at
11 what is existing in those communities.

12 Psychosocial support is also not there.
13 The established mental health services have not
14 embraced the HIV impact on the mental health of
15 the poor population, or the implication for those
16 who have tested positive. In our population,
17 there is an average number of 2.7 children among
18 the 100 that we are serving, and that amounts to
19 about 300 children. Many of them will later
20 become orphaned.

21 Many of them are going to become orphans.
22 I think we need to look at this particular impact
23 in terms of the vicious cycle of drug use in a
24 communities where adolescents who have no support

1 to cope with knowing that they may lose a parent,
2 that they are going to live with the HIV illness,
3 where one member is positive and the other member
4 is not.

5 And our concern is what happened with
6 disclosure, what happened with counseling when a
7 woman or a man does not want to disclose to the
8 partner with whom they are sexually active, and
9 especially when they are asymptomatic of their
10 HIV testing. It points to the need for HIV
11 counselor training to incorporate the many
12 factors impacted on the lives of those tested.
13 And also we take into consideration the length of
14 time of the process of pre- and post-test
15 counseling.

16 I think that the prison population with
17 whom we are working now presents some major
18 concerns in terms of confidentiality and
19 anonymity of testing. The lack of adequate
20 service in the system is well-documented. In our
21 special project where we are providing
22 professional support and peer training for 40
23 women to work at the facility for women, which
24 orients and moves 1200 women per year and has an

1 increase of Latin women, an increase of black
2 women, due to drug-related criminal activities.
3 We are very concerned that they, as well, as we
4 receive information on HIV and living with AIDS
5 and better therapies. There is an increased
6 concern for women who want to be tested, whether
7 there is confidentiality and anonymity.

8 I think I would be remiss if I didn't
9 mention the homeless population. This is around
10 30,000, and I'm sure you have seen the numbers
11 mushroom in your own communities. In our city,
12 we are talking about the possibility of over 20
13 percent of that population already HIV infected.
14 That problem for us at this point, we have no
15 true solution to deal with the issues of testing
16 for that population and service for that
17 population.

18 In conclusion, I'm sure that you heard in
19 the first presentation the benefits of testing
20 and the efficiency and efficacy of tests
21 available. What I have tried to speak on very
22 briefly and to use some live examples is the need
23 to put in place adequate and appropriate services
24 to support testing outcomes. Whether testing is

1 positive or negative, services to reinforce
2 preventative strategies and to support persons
3 living with HIV are essential; yet, they are
4 sorely lacking in the communities most impacted,
5 yet, with the least resource availability to
6 sustain the brunt of HIV in our lives.

7 CHAIRMAN ALLEN: This is clearly
8 going to be an issue we are going to deal with in
9 the round table. If there is a pressing question
10 that you need to ask at this very moment, we can
11 go with at least one.

12 MR. DALTON: There is another
13 category of questions which have broader
14 applicability. One question, I just want
15 clarification. You mentioned that in New York
16 the average funding for minority service
17 representation is \$66,000. Was that from all
18 sources?

19 MS. ST. CYR: From the New York
20 *State* City AIDS Institute, which funded 30 agencies at
21 the close of 1989, and the average funding was
22 \$66,000.

23 CHAIRMAN ALLEN: Any others at this
24 point? We will certainly return to this very

1 important issue here in the round table.

2 Thank you very much.

3 Next we have Doctor Hinman.

4 DR. HINMAN: I am pleased to be
5 here to talk a little bit about some of our
6 activities, specifically with relation to
7 counseling and testing. But I also wanted to
8 talk a little bit about the National AIDS
9 Information and Education Program.

10 I should say that in the absence of
11 specific preventive measures such as vaccines or
12 cures, at the present time some of our most
13 effective means of intervention are education,
14 education including school-based education, mass
15 media types of public information, dealing with
16 groups of individuals who are at increased risk,
17 and then individual education on a one-to-one
18 basis.

19 I have put around a handout that on the
20 the top of which just summarizes the level of
21 funding through the Centers for Disease Control
22 for major categories of activities in fiscal
23 1989, and you'll see that 35 and a half million
24 dollars was devoted to school-based education, 35

1 million dollars to public education.

2 I would like to now spend a couple of
3 minutes talking about the proposed themes for the
4 1990 "America Responds To AIDS" public
5 information program, which is carried out by the
6 National AIDS Information and Education Program.

7 At present, the proposed concepts, the
8 draft public service announcement materials and
9 messages are undergoing a broad review and
10 audience testing with focus groups. The messages
11 are being tested on more than five thousand
12 persons from multicultural audiences and the
13 general public. The plan is to release the
14 materials in approximately June of this year.

15 The three primary objectives of this phase
16 of the campaign are to improve understanding of
17 the relationship between HIV and AIDS; that is,
18 HIV as the virus infection of which may lead to
19 AIDS subsequently; to the increased risk, and,
20 therefore, the appropriateness of adopting
21 preventive behavior, and to increase the
22 willingness of persons at risk to be tested for
23 HIV antibodies.

24 The primary messages to be portrayed are,

1 one, the HIV is the virus that causes AIDS; two,
2 you can't tell by looking at someone if they have
3 HIV infection; three, many people with HIV
4 infection didn't think that they would get it;
5 four, persons who believe they may be at risk of
6 HIV infection should be tested; five, there is no
7 cure for AIDS, but early diagnosis and treatment
8 can delay the onset of complications; and, six,
9 that people with HIV infection can continue to be
10 productive employees and pose no risk to their
11 co-workers.

12 The standard TV/radio print ad and poster
13 public service announcements will be developed
14 and used with increasing emphasis on localization
15 for high risk audiences. There will be increased
16 emphasis on encouraging work site education
17 programs and the adoption of employee policies,
18 and information and materials will be developed
19 on risk assessment, the spectrum of HIV
20 infection, and HIV prevention in the workplace.
21 And that is basically a summary of what is
22 intended for the coming way of "America Responds
23 to AIDS".

24 I would like now to move on to talk about

1 counseling, testing, referral, and ~~department~~^{partner}
2 notification, which we tend to think of sort of
3 together. And the counseling part of counseling
4 and testing represents an intense opportunity for
5 education about behavior modification. It has
6 many of the limitations that have been described
7 before. It may be one time or two time, pre-test
8 and post-test time, at a time when persons are
9 thinking about other things as well. But it does
10 represent one relatively intense opportunity for
11 education.

12 The referral part of counseling, testing,
13 and partner notification means referral for
14 support services and follow-up, and may be
15 currently the least developed part of the
16 program. Partner notification represents the
17 most highly focused outreach activity available.
18 It represents direct outreach to individuals who
19 are at direct risk as a result of having been
20 exposed personally to HIV infection.

21 I would like to just summarize a few of
22 the data that are in the handout. If we just go
23 to about the third page or so of the handout, you
24 will see that the total number of reported test

1 sites has increased from January 1988 from a
2 total at that time of 1,691 test sites to more
3 than 5,000 test sites in September of 1989. Of
4 these, nearly 30 percent were what we call HIV
5 counseling and test sites, what used to be called
6 alternate test sites, primarily carrying out
7 anonymous testing.

8 The remainder of the test sites in public
9 health facilities represent the provision of
10 testing in sites such as STD clinics, family
11 planning clinics, prenatal clinics, et cetera.

12 If you look at the pie chart that comes
13 next, you will see that in the 12-month period,
14 July 1988 through June 1989, HIV counseling and
15 test sites, the alternate test sites, represented
16 about 40 percent of all the tests carried out in
17 publicly supported HIV testing facilities. STD
18 represented the next largest category with 25
19 percent. And you can see the other categories in
20 the rest of the pie chart.

21 The next three graphs just show the trends
22 over time over the seven quarters from January of
23 1988 through September of 1989, and the number of
24 tests recorded from different sites. The thing

1 to notice on the first chart is that the number
2 of tests recorded from HIV counseling and testing
3 sites has remained relatively constant over that
4 period; whereas, the number of tests reported
5 from STD clinics has been increasing.

6 Similarly, in the next two charts, you see
7 for family planning and prenatal clinics, drug,
8 that the number of tests have been increasing
9 progressively. The next chart which just shows
10 two straight lines, demonstrates the trend of the
11 percent of all tests done which are being
12 performed in HIV counseling and testing sites
13 versus STD clinics. What you see here is a
14 reflection of the extension of HIV counseling and
15 testing into sites where people are coming for
16 services which indicate that they may be at
17 increased risk but are not coming specifically
18 for an HIV test.

19 The next graph demonstrates the number of
20 tests performed in publicly funded sites going
21 back to the beginning of the program in 1985 and
22 the number of positives. And we have had
23 approximately 2.5 million tests performed
24 reported to us as being performed in publicly

1 funded test sites, and nearly 150,000 of these
2 have been positive. These are tests, not
3 people.

4 I should point out that if one makes,
5 attempts to make a correction for persons who
6 have repeat testing based on information from a
7 few states, we come to an estimate that
8 approximately two million persons have been
9 tested in publicly funded counseling and testing
10 sites, and somewhere on the order of 125,000 to
11 145,000 persons have been found to be infected.

12 Now, if we then take an estimate of the
13 pool of infected individuals in the United States
14 as being approximately one million, and based on
15 data from Colorado which indicate that something
16 on the order of 20 percent of infected persons
17 are aware of their infection, this would mean
18 that somewhere on the order of 200,000 persons
19 nationwide are aware of HIV infection, then the
20 estimate is that something on the order of 70
21 percent of all the persons in the United States
22 who are aware of their infection status learned
23 of it through publicly funded counseling and
24 testing sites.

1 I would now just like to show very briefly
2 the next table demonstrates the number of tests
3 performed over the seven quarters from January of
4 1988, which is when we began to get the detailed
5 information, through September of 1989,
6 demonstrates the number of tests performed by
7 site, the number which were positive, the percent
8 positivity of the tests that were performed, the
9 percent of all tests that were performed that
10 came from that type of site, and the percent of
11 all positives that came from that type of site.
12 So, for example, alternate test sites accounted
13 for about 40 percent of all tests performed in
14 that period, but about 50 percent of all
15 positives.

16 The next table demonstrates, first of all,
17 an error in the label. It should be by type of
18 risk exposure on the second line of the table in
19 the table. And it demonstrates for persons who
20 describe themselves as being heterosexuals and
21 having some known risk, how many tests were
22 performed. And this is about 44 percent of all
23 tests, and about 15 percent of all positives.

24 Other is the category of persons who

1 describe themselves as being heterosexual but do
2 not describe any other risk activity. This may
3 be because they do not wish to indicate what
4 their risk is, or it may be that they are the
5 worried well. I think if you look at the
6 positivity rate for this population, for this
7 group, at 2.3 percent, you've come very clearly
8 to the idea that these are not just the worried
9 well, but they include persons who are not
10 telling what their risk is.

11 Then the other categories, as you see, are
12 men who have sex with men, IV drug users, et
13 cetera.

14 The bar chart then shows for each of these
15 risk categories the percent of all tests on the
16 darker bar that are reflected in this risk group,
17 and then the percent of all positives. So,
18 taking the second one in, for example, men who
19 have sex with men account for 13 or 14 percent of
20 all tests, but about 45 percent of all
21 positives.

22 The next bar chart just shows the
23 positivity rate by risk group and shows the
24 highest positivity rate is men who have sex with

1 men and are also IV drug users at about 17
2 percent. There then follow a couple of maps that
3 show by state the percent positivity among groups
4 who describe themselves as being at various
5 risk.

6 And then there are a couple of pie charts
7 and a bar chart that demonstrates the positivity
8 rates by subgroup in those who are categorized as
9 heterosexuals with risk. And the thing to notice
10 here is that more than 11 percent of those who
11 say that their risk is that their partner is
12 positive were found to be positive. This is one
13 of the highest percent positivity rates that we
14 find around the country.

15 The handout then concludes with
16 information about the race and ethnicity of
17 persons who were tested. For example, 56 percent
18 of tests were performed in whites, 32 percent in
19 blacks, 9 percent in Hispanics, and then there
20 are a couple of bar charts that compare the
21 proportion of each race and ethnic group in the
22 general population in the left-hand bar and the
23 percent of all tests that were performed in the
24 right-hand bar.

1 The next chart, the percent of the general
2 population in that race ethnic group and the
3 percent of all positives in that race ethnic
4 group.

5 A pie chart, and then a bar chart showing
6 sex breakdown, approximately 55 percent of all
7 tests were performed in males and 45 percent in
8 females. The number of positives was much higher
9 than the proportioned positive in males as
10 females. Then finally a chart showing the age
11 distribution of tests and of positives.

12 Thank you very much.

13 CHAIRMAN ALLEN: We have time for a
14 few questions, Don and then Jeff.

15 MR. GOLDMAN: One of your charts on
16 the number and percent of HIV tests or positive
17 tests by type of ethnic site. Where do you get
18 the data to determine how many HIV tests are done
19 by private physicians?

20 DR. HINMAN: These are tests
21 reported to us as having been done by private
22 physicians. And they represent a substantial
23 estimate of the total number of tests actually
24 being performed in private physician settings.

1 MR. GOLDMAN: Under what
2 circumstances would a private physician report a
3 negative test to the Public Health Service?

4 DR. HINMAN: This might be if the
5 test was actually carried out by a laboratory and
6 the laboratory was reporting a number of tests
7 they performed, and the positives, according to
8 the type of person submitting the specimen.

9 MS. AFFOUMADO: Which is the case
10 in New York.

11 MR. GOLDMAN: How about if it's
12 done in a hospital?

13 MS. AFFOUMADO: Everything goes to
14 the Department of Health and Welfare.

15 DR. HINMAN: There is considerable
16 variation around the country. I would say the
17 majority of states, the majority of tests carried
18 out through private physicians are not reported.

19 MR. GOLDMAN: The subsequent
20 charts, you have in terms of risk groups and
21 positivity, things like that, are those based
22 upon the gross numbers? Are those also, the
23 January 1988, September 1989 based upon the same
24 data?

1 DR. HINMAN: Most of them are.
2 There are a couple of charts which detect only a
3 12-month period. I think those are fairly well
4 labeled. But most of the data reflect the
5 complete periods that we were getting detailed
6 information, which began in January of 1988, and
7 the most recent reporting period for which we
8 have complete reporting data, which is September
9 1989.

10 MR. GOLDMAN: Do you have any data
11 which would indicate any substantial differences
12 either in the types of risk groups, risk exposure
13 groups, with positivity versus being seen or
14 being tested at the alternate test sites as
15 opposed to all of the other things?

16 DR. HINMAN: At present, we do not
17 at the national level because we are getting data
18 in a summary format.

19 I should say, first of all, that the data
20 I presented to you we've only been able to
21 collect for the last year and a half or so. It
22 took us awhile to get the approval of the report
23 forms. The data represents the summary of data
24 we have developed a form which is machine seek

1 scannable, which will enable us to do that kind
2 of cross analysis. But at the moment, I cannot.

3 MR. LEVI: Fortunately, Don asked a
4 couple of my questions so I can appear to be
5 brief when I hadn't intended to be. I would just
6 point out for the record that the hundred million
7 dollars in fiscal 1989 for counseling, testing,
8 and partner notification and fiscal 1990 is
9 under, and I think the fiscal 1991 request is
10 somewhere around 150 million dollars. I think
11 given the cost about 150 million dollars will
12 identify 64,000 who are positive, I think this is
13 something for our discussion later as to what the
14 given real cost given the increased demand for
15 testing is going to be to identify for early
16 intervention.

17 As part of the counseling, testing and
18 partner notification money, I have three related
19 questions. Does the CDC permit states to
20 implement these programs, to also pay for T-cell
21 testing as part of their counseling and testing
22 money? Does it permit the states to use this as
23 part of the process for drugs that are related to
24 an identification of someone who is seropositive

1 and possibly in need of intervention as the CDC
2 does permit states, or as part of the protocol is
3 for other STDs, like syphilis, you identify
4 someone who is positive and needs treatment, you
5 give them the treatment, which I don't believe is
6 the case for HIV.

7 The third related question is, is there a
8 breakdown, all the money for counseling, testings
9 and partner notification is clumped together, is
10 there a breakdown as to how many of those, say
11 the hundred million dollars in fiscal 1989, is
12 there any accounting for how much of the money
13 went for counseling, how much of the money went
14 for testing and how much of it went to partner
15 notification?

16 DR. HINMAN: I'll take the
17 questions in reverse order. No, I cannot tell
18 you exactly how much was spent on counseling
19 versus how much on tests.

20 MR. LEVI: Or how much was spent on
21 partner notification?

22 DR. HINMAN: It may be possible to
23 separate that out. I don't have that with me.
24 With respect to whether we provide support for

1 related follow-up activities, particularly T-cell
2 testing and medications, I would point out that
3 in fact the CDC funds do not pay for penicillin
4 to treat syphilis. That is provided using state
5 and --

6 MR. LEVI: But it's part of a
7 protocol. The CDC pays for the testing and
8 identification with the understanding that the
9 states are going to provide the penicillin.

10 DR. HINMAN: We do provide support
11 in some states currently for follow-up. And this
12 is, as I pointed out earlier, a referral and
13 follow-up part is the least well-developed part
14 of the overall prevention program. But, in
15 California, for example, federal funds are being
16 used in the prevention treatment centers, as
17 Francis described in one of the articles --

18 MR. LEVI: But a state under one of
19 those cooperative agreements for counseling,
20 testing and partner notification, if a state said
21 we want to spend some of that testing money for
22 T-cells, what is CDC's response?

23 DR. HINMAN: We do not have an
24 automatic no response. We do not have an

1 automatic yes response.

2 MR. LEVI: Because the District of
3 Columbia was told no when they asked for that.

4 DR. HINMAN: I wasn't present in
5 the discussion. The general approach, however,
6 is that although there has been some increase in
7 funding to support prevention activities given
8 increased cost of doing business and increasing
9 demands for services, one has to look at what
10 you're going to drop if you you're going to add
11 something else. And I think the question of
12 whether to add a new service is one that often
13 may get less priority.

14 Is that responsive to all three? I think
15 I may have left out the first question.

16 MR. LEVI: No.

17 CHAIRMAN ALLEN: Eunice?

18 MS. DIAZ: Alan, did you have any
19 data to indicate what led people either to ATSS
20 or the other HIV testing sites, being that CDC
21 puts a lot of money into education of
22 communities, what some of this flushed out to,
23 say, the people that went into ATS sites mainly
24 heard an advertisement or something via

1 television-funded programs?

2 DR. HINMAN: By and large, the
3 people who go to the HIV alternate test sites go
4 there specifically because they are concerned
5 about the possibility of HIV infection. They
6 have heard about HIV infection and the
7 availability of testing from one place or
8 another, and they make a conscious decision to go
9 and discuss HIV.

10 MS. DIAZ: How did they hear about
11 it, is my point?

12 DR. HINMAN: There are some data
13 which I do not have in my mind.

14 MS. DIAZ: Do you have a feeling?
15 Was it mainly through public messages, or
16 referral from a buddy?

17 DR. HINMAN: I think by and large
18 it's more direct contact with individuals or with
19 smaller groups rather than mass media.

20 It should be pointed out that the mass
21 education approaches have not typically focused
22 on encouraging people to go get tested. But in
23 terms of the other testing sites, those are sites
24 where people are not going specifically to

1 receive HIV testing. They are going for another
2 reason. They are going for an STD, family
3 planning services, or they are going for HIV drug
4 treatment.

5 CHAIRMAN ALLEN: We are really
6 getting at the heart of what we are going to be
7 dealing with this afternoon. What I would like
8 is to ask the questions. Maureen is going to
9 record the questions to make sure they are not
10 lost in the shuffle of the round table. Don had
11 a question. If you could ask the question at
12 this moment.

13 MR. GOLDMAN: It can be a yes or
14 no. The answer that you just gave to Eunice, is
15 that based upon empirical data or based upon your
16 best assumptions, understandings and your best
17 guess based upon the circumstances?

18 DR. HINMAN: Which answer? I
19 answered two questions. One which related to why
20 do people go to alternate test sites, and I said
21 I believe there are data on the subject. I do
22 not have them in my mind.

23 With respect to the other facilities, I
24 can tell you with confidence that this is the

1 introduction of offering testing in sites where
2 people are coming for other reasons.

3 MS. AFFOUMADO: I actually just
4 have a statement and I think we'll get into this
5 later. I want to be very clear that people
6 understand that the models of medical care in the
7 management of HIV are not the same as STD. We
8 need to really talk later on about what proper
9 treatments are and what the implications are for
10 T-cell testing. I want to bring that up.

11 DR. HINMAN: Probably the medical
12 model for TB is closer to that for HIV. It is
13 not the same, but it is perhaps closer in that it
14 does involve long contacts.

15 CHAIRMAN ALLEN: This will be
16 something we will need to bring up later. I
17 appreciate your bringing that up, and we can talk
18 about it in the broader context of all the
19 participants. It's frustrating at this time
20 because we can't all just jump in because of time
21 restraint.

22 Peter, if you want to ask a question, if
23 it's not a yes or no --

24 MR. SANCHEZ: It would seem that

1 based upon the data that you supplied and the
2 graphs, you need to apply to people who tend to
3 go to areas -- that has great implications. That
4 is my interpretation. I hope we bring that up.

5 DR. HINMAN: I would respond to
6 that only by saying that we have little
7 information to indicate that a substantial number
8 of the tests being performed, say, at STD
9 clinics, are being performed because people are
10 specifically going there to get an HIV test.
11 They are primarily being performed for people who
12 go there for STD examination or treatment and are
13 offered the possibility of HIV testing.

14 DR. O'NEILL: Let me say I think
15 it's particularly appropriate that I follow
16 Doctor Hinman with my comments about HRSA's
17 activities vis-a-vis early intervention. I would
18 first like to express that Doctor Sam Methany
19 (phonetic), who is the Associate Administrator,
20 was unable to attend this meeting and asked me to
21 fill in for him. I think that it's important for
22 you to know.

23 First of all, to give you a little
24 oversight, HRSA is a large agency, three

1 bureaus. I work in one of those bureaus as the
2 Medical Director of their HIV program, and I am
3 most familiar with those particular programs. I
4 have been briefed quickly and rapidly on the
5 other programs within HRSA that have to do with
6 early intervention, and I will confess that it's
7 kind of like drinking water out of a fire hose in
8 terms of really having a completely firm handle
9 on all of the statistics you may need. I am
10 saying this up front because I will be very
11 pleased to supply you with any information in
12 written form that I am unable to do in oral form
13 as I do this.

14 I think during the course of our afternoon
15 stay we will perhaps be coming to a better
16 understanding ourselves of what early
17 intervention in AIDS and I should say in HIV
18 infection means. Certainly our view is that it
19 includes prevention, education, psychosocial
20 support, and medical intervention now as well for
21 certain groups of people. HRSA's mission is to
22 support the delivery of health services to
23 disadvantaged and certain populations and to
24 develop national health resources of health

1 professionals and facilities.

2 I want to make it a point with regard to
3 early intervention that within HRSA, although we
4 do have some very specific HIV-related programs,
5 in fact we even have certain proponents of those
6 programs or certain projects funded by this
7 program which specifically deal with early
8 intervention. Our real goal is to work within
9 and stimulate and expand existing health care
10 systems, particularly the primary health care
11 systems, rather than to develop parallel or new
12 systems of care for HIV positive individuals.
13 Our challenge in doing this is to not develop a
14 pragmatic system of care, but rather to encourage
15 the continuity of care for anyone who is HIV
16 positive from the time of learning of their
17 infection to whatever the later stages of that
18 disease may be.

19 Our mission at HRSA with regard to HIV is
20 diverse. We encompass primary psychosocial
21 support, education, and several other areas. I
22 want to stress that in terms of our response, we
23 have tried very hard to respond to service needs
24 as defined by communities. Particularly, in the

1 service demonstration programs, which is one of
2 the programs with which I work, we have made it a
3 cornerstone of our program to try to learn from
4 communities what needs are, where the gaps are,
5 and how we may best stimulate and fill them.

6 Let me say a few general comments about
7 the specific mission of HRSA as it relates to
8 early intervention in HIV, and then I will go on
9 to describe some particular programs for you.

10 HRSA has no one program that is
11 specifically focused on early intervention. But
12 the very nature of our HIV programs, however,
13 HRSA is supporting early intervention in several
14 areas; service demonstration projects, for
15 example. A study that we have between January
16 and June of 1989 provided services for over
17 21,000 individuals who did not have a diagnosis
18 of AIDS. HRSA is comprised of three bureaus, as
19 I mentioned. The Bureau of Health Care Delivery
20 and Assistance operates the community and health
21 center's program. Through this program over 600
22 health care centers are supported, of which
23 nationwide see about 5 and a half million
24 people.

1 These centers traditionally serve
2 medically underserved populations, which are not
3 disproportionately affected by HIV. In 1989, our
4 studies show that 67 percent of these centers did
5 provide HIV screening. The Bureau of Health Care
6 Delivery and Assistance in their studies have
7 indicated that in some areas up to 3 percent of
8 users of these clients are HIV positive. In some
9 states, the bureau estimates that their clinics
10 see a significant portion of all HIV infected
11 individuals in that state.

12 For example, they estimate that within New
13 York State 10 percent of HIV infected individuals
14 are seen through these clinics, 12 percent in
15 Maryland, 18 percent in Pennsylvania.

16 There are several specific programs within
17 this bureau that relate to early intervention in
18 HIV. First, there is a joint effort with the
19 Centers For Disease Control to develop HIV
20 prevention and activities and to strengthen them
21 within the community health centers. This has
22 been a pilot project involving three centers in
23 Miami, Bronx, and Newark. Over a three-year
24 period, through a collaborative effort between

1 our agency and the Centers For Disease Control,
2 four and a half million dollars has been, well,
3 it's a one and a half million dollars per year
4 program, which is an effort to tie in counseling
5 and testing around HIV with services provided at
6 these three centers.

7 Recently, the community health center's
8 efforts have been augmented with 10.8 million
9 dollars appropriation, which will be awarded as
10 grants to a number of community health centers.
11 The goal of this program will be to augment the
12 ability of the centers to care for the entire
13 spectrum of HIV disease in the population which
14 they currently serve. These will, of course,
15 include asymptomatic seropositive individuals.

16 It is important to note that this program,
17 however, is designed to help these needs in areas
18 that are highly effective, but it is clearly
19 expected that all community health centers will
20 be involved to provide care for the HIV positive
21 person regardless of whether they are a recipient
22 of one of these grants or not.

23 The third program to mention are the
24 National Institute of Drug Abuse and Bureau of

1 Health Care Delivery and Assistance Demonstration
2 Projects, which is a 9 million dollar series of
3 grants awarded at the end of fiscal year 1989 to
4 21 entities. These were state, local health
5 departments, private and non-profit community
6 centers. The specific point of these programs
7 was to develop linkages between substance abuse
8 treatment and primary care activities.

9 Let me move on, as I've just been told I
10 have 120 seconds left, to the Service
11 Demonstration Programs. These were HRSA's first
12 specific programs related to HIV. They were
13 begun in four sites, New York, Los Angeles, San
14 Francisco and Miami, and there are now 25 sites
15 in this program. The major purpose of the
16 Service Demonstration Project is to support the
17 organization of systems of care by developing
18 coalitions and service providers in community
19 organizations, to identify gaps in service needs,
20 to demonstrate how to meet these needs.

21 I think the point is that when we talk
22 about early intervention, this is becoming
23 increasingly one of the gaps that is being
24 identified in the communities that we are

1 attempting to respond to within the Service
2 Demonstration Project program. The Service
3 Demonstration Project program has always had a
4 major emphasis on providing continuity of care,
5 and the structure of the program does allow the
6 ability to respond to this identified gap of
7 needs of the people that are early on in the
8 stages of HIV infection.

9 Let me just mention two examples of early
10 intervention activities that are ongoing in the
11 Service Demonstration Program. I'll mention
12 three, actually. One of them that is closest to
13 my heart is the Seattle County. I mentioned
14 before I came to work for the Public Health
15 Service I was a primary care provider at the
16 County Medical Center in Seattle. And the nurse
17 practitioner who worked with us and provided a
18 tremendous continuity component to the care
19 provided to that clinic was partially funded
20 through the HRSA demonstration project in Seattle
21 county.

22 West Hollywood and Los Angeles, which was
23 also funded with service demonstration dollars,
24 provides medical monitoring to asymptomatic

1 seropositives. The Fenway Clinic here in Boston
2 has several components which are funded by
3 service demonstration dollars. The Dimmock
4 Community Health Center uses service
5 demonstration funds for providing education, HIV
6 support groups, particularly for minority women.
7 The Treatment Center at Fenway Clinic employs a
8 nurse practitioner salaried by the demonstration
9 program as director of that operation. This
10 center, for example, provides an inhaled
11 Aerosolized Pentamidine program and therapies.

12 We have other programs at Health Service
13 Planning Program, which certainly emphasizes the
14 needs of early intervention. Finally, let me
15 just mention that in 1989 and 1980, a total of 73
16 million dollars was appropriated to help
17 individuals to acquire drugs which would, which
18 are appropriate for use in AIDS care or HIV
19 care. The original criteria when this program
20 first started was that the money be used for
21 drugs which had been shown to prolong the lives
22 of persons with AIDS.

23 Since that time, the language has been
24 liberalized to state that the monies may be used

1 to purchase drugs or to help in the purchase of
2 drugs for which not only have been shown to
3 prolong life, but which have been shown to
4 prevent serious deterioration in health. That
5 right there is an expansion of the ability of
6 states to use these monies to purchase drugs
7 earlier on in the spectrum of disease.

8 The AIDS Educational Training Center
9 Program, which is our initiative to support
10 education to public professionals and HIV disease
11 has also of late had an increasingly strong
12 emphasis on early intervention.

13 I would in closing note that I was asked
14 and conducted a two-day seminar for the regional,
15 two months ago, specifically on the topic of
16 early intervention to educate the HTCCs about
17 what their mission should be.

18 Finally, I must make one personal plea or
19 personal statement that comes not out of my role
20 as a bureaucrat but really from another life when
21 I was taking care of patients and working as an
22 HIV testing counselor. I think that, and I'm
23 sure we'll talk about this in the future, but the
24 roles of reimbursement for services and the issue

1 of discrimination and confidentiality are
2 extremely key when we talk about trying to meet
3 the overall needs that are raised by the question
4 of providing early intervention services for
5 those who are HIV positive.

6 Thank you.

7 CHAIRMAN ALLEN: Any quick
8 questions?

9 MS. AFFOUMADO: Just another
10 observation, again for future discussion. In
11 listening to you talk, you talk about the HRSA
12 funding, continuity of care, in terms of
13 diagnosis and treatment, but consistent with the
14 admissions of the clinics to provide
15 comprehensive and coordinated clinics for
16 people.

17 In New York State recently there was an
18 announcement of a Medicaid reimbursement rate for
19 community-based clinics of which many of them are
20 330s in New York State which are providing
21 services to communities for HIV infection, which
22 allows a reimbursement rate only for early
23 diagnosis treatment for asymptomatic patients. I
24 think there is a real issue when you talk about

1 reimbursement where a state or a local locality
2 may be setting reimbursement for funding
3 according to certain parameters and criteria and
4 the Feds and HRSA and Public Health Service may
5 also be doing it in another way and then these
6 clinics get caught in the middle because clearly
7 funding and reimbursement levels are driving the
8 way we take care of these patients
9 unfortunately.

10 So we may want to look at the
11 inconsistencies between the various governmental
12 entities in terms of especially Medicaid because
13 Medicaid is really the primary reimbursement
14 mechanism for this population.

15 CHAIRMAN ALLEN: I would like to
16 make one statement. We do need to close. But
17 one of the concerns that I have is that HRSA
18 seems to be losing its funding in the area of the
19 AZT, the home care, and other crucial areas,
20 demonstration grants, and so forth. At the same
21 time, we are looking at increasing the
22 advertising for early intervention.

23 I think that's something we need to look
24 at at the round table as to what's happening here

1 and the inconsistencies and where does that leave
2 the individual and the CBOs. So I leave that to
3 the next hour. And we will return in an hour.

4 (Recessed for lunch at one
5 o'clock p.m.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

1 AFTERNOON SESSION

2 (Resumed at 2:10 p.m.)

3 CHAIRMAN ALLEN: Mark, do you want
4 to go ahead and start the whole process?

5 DR. ROBERTS: Good afternoon.

6 Welcome to Boston those of you who have come from
7 sunnier climates. I'm really delighted on behalf
8 of the local Chamber of Commerce and Mr. Wright
9 and any other natives to welcome you to Boston.

10 I was asked by the working group to be the
11 facilitator for this afternoon's, I think, square
12 table as opposed to round table conversation.
13 Let me say a word or two about what I see my role
14 as and some ground rules. Then we'll just zoom
15 right into it.

16 My job is to help Commissioners who are
17 scattered artfully among you with no apparent
18 plan or order, help the Commissioners have a
19 conversation with all of you that serves their
20 objectives. And I just want to say that I view
21 myself as sort of their instrument in this
22 situation. And, to some extent, if I seem to
23 treat them a little better than I treat some of
24 you, it's nothing personal. But it is really, in

1 some sense, an alternative to what would be a
2 more conventional hearing process in which there
3 would be even more control. So that's the first
4 thing I want to say.

5 Second thing is that it is hard in a
6 public meeting, particularly in such a grand and
7 elegant setting, to have a really effective and
8 blunt exchange, but I think that's everybody's
9 objective here. These are really hard problems.
10 You know far better than I. None of us will be
11 well-served if we don't really try to say what's
12 really on our minds and really help them think
13 about how hard the problems are.

14 There's no sense that we will necessarily
15 force any consensus or agreement. It's not one
16 person, one vote. No show of hands. There are
17 only a few people in the room who get to vote.
18 Most of us are not among them. But we're really
19 here to share our best thinking with them and
20 help them understand the problem.

21 We thought that, and I will try
22 episodically at the risk of knocking our court
23 reporter over as I scoot in and out of this
24 narrow passage, I'll try to keep track of at

1 least some of the comments on the flip chart as
2 we go. The logistics of this are a little
3 complicated about how we do this, and I'm afraid
4 I'm going to have my back to you guys a little
5 more than I otherwise might, and I apologize in
6 advance.

7 We just had an elegant lunch at The Bistro
8 that Larry Kessler recommended. It was the view
9 of the working group members that they would like
10 us to begin with the issue of testing, which is
11 in part what this meeting is about: Who do we
12 test? When do we test them? How do we test
13 them? How does that answer vary with different
14 kinds of client groups and different kinds of
15 circumstances, different political and geographic
16 circumstances? We'll start with that.

17 Then as a sort of second move, we want to
18 begin to talk about what else we think needs to
19 be present in order to make various kinds of
20 testing strategies sensible and appropriate,
21 about service context within which service needs
22 to be embedded.

23 And then if the first half of our
24 conversation is going to be about ideals, then

1 the second half is going to be more about
2 realities and what's the difference between
3 where, what package of activities and programs
4 and services seems desirable and the stuff that's
5 actually out there in the world. We have around
6 the table enormous expertise from a variety of
7 front lines that can tell us what it's like out
8 there on those front lines.

9 Scott, does that seem reasonable to you, a
10 summary of where we were?

11 CHAIRMAN ALLEN: Yes.

12 DR. ROBERTS: I should ask who
13 would like to start us off? And let me say one
14 other thing. The acoustics in this room are
15 really lousy. So please speak loudly because
16 otherwise you are not going to be heard.

17 Who would like to open this up on the who
18 and when? We don't need a comprehensive answer,
19 but why don't you start with, from your point of
20 view, the clearest argument, the group that is
21 most desirable, and in what way, voluntary,
22 routine, anonymous, confidential, if you could
23 pick one kind of testing program, what would you
24 pick.

1 Peter? And, by the way, one other ground
2 rule. I don't actually know any of you, and I'm
3 going to presume we're all old friends and we're
4 going to be on a first name basis. I hope that
5 doesn't offend any of you, but I'll do it
6 anyway.

7 DR. SMITH: Starting from the
8 premises that generally testing leads to a step,
9 and usually a therapeutic step, I would generally
10 say the premise issue goes to those who would
11 benefit from the testing.

12 In other words, if you live in an area
13 where there is actually possibilities of
14 treatment and those people are most likely to
15 benefit from treatment should be tested.

16 CHAIRMAN ALLEN: Who would that
17 be?

18 DR. SMITH: Those that stand a high
19 probability of having been infected with the HIV
20 virus should be tested. I think it's real hard
21 to seek a lot of specific groups without getting
22 into cliches, but I do think that what has
23 hampered the AIDS effort a lot is the fact that
24 we provided a lot of testing without having much

1 to do with it.

2 DR. NOVICK: A very important issue
3 here about who is if indeed we identify who, how
4 do we tell them that they should be tested? I
5 represent in my community a population of people
6 who don't hear our voices at all because they do
7 not have primary care and they don't trust those
8 who speak; that is, they don't have trust,
9 certainly, for white people who speak.

10 And so part of the question of who is when
11 we identified them as the people at high risk,
12 how do we tell them what we believe?

13 DR. ROBERTS: Do you think that in
14 some ways there's almost an inverse relationship
15 between who we need to test and who we find it
16 easiest to reach? Is that what I hear you
17 saying?

18 DR. NOVICK: No. There are some
19 people that are very easy to reach, the worried
20 well straight white people. And I'm not opposed
21 to reaching them. But for various specialized
22 reasons, those who are at risk will not hear our
23 voices unless we change our voices or find
24 modalities to reach them. That's literally

1 true. They will not hear a single word of our
2 wisdom.

3 DR. HINMAN: It's true that many of
4 those who are at highest risk are disconnected
5 from the system, but there are many who are in
6 the system for one or another reason, some of
7 which is a reflection of their risky behavior:
8 STD clinics, IV drug treatment programs, et
9 cetera. And this is a way of directing services
10 at a population which is at high risk, which is,
11 at least at that moment, in contact with the
12 system.

13 DR. ROBERTS: That goes to the data
14 you presented earlier today about the relatively
15 increasing role of these other certain clinics.
16 What's your sense about how to do it in that
17 regard? I mean, is this an area that we ought to
18 be giving a lot of priority to? Expanding,
19 testing in the already existing service system,
20 and how does that deal with the people who are --

21 DR. HINMAN: With some difficulty
22 it deals with the persons who are totally
23 unconnected. It doesn't really deal with them.
24 It provides, however, an opportunity to reach

1 those who are connected to the ones who are
2 connected to the system. For instance, partner
3 notification. Again, the single most targeted
4 outreach activity is to try to reach the
5 partners, needle and sex-sharing partners of
6 those who are infected.

7 DR. ROBERTS: Rona?

8 MS. AFFOUMADO: I have a couple of
9 observations. The first one is I really take
10 umbrance with the idea that we need, who do we
11 need to test. I think that that's very
12 dangerous.

13 DR. ROBERTS: What word would you
14 suggest?

15 MS. AFFOUMADO: I think the real
16 reality is similar to what Alvin is talking
17 about, testing is not a service. We must
18 understand clearly that HIV antibody testing is
19 not a health care service. It is a diagnostic
20 tool that may help in treating people and
21 preparing treatment plans for them.

22 And I think we also have to understand
23 that the kinds of services, quote, where we are
24 providing testing, are also not true health care

1 models. They are treatment specific models where
2 someone goes for a particular need and gets that
3 need and then leaves and is not plugged into a
4 health care system.

5 So we have to really, what we've been
6 doing all these years is taking this HIV antibody
7 test and having an isolated, hanging from the
8 ceiling on this little rope in this little center
9 and using it for all kinds of things except for
10 providing services.

11 DR. ROBERTS: So --

12 MS. AFFOUMADO: The who makes me a
13 little crazy because I want to think of it as
14 people. And when I think about the people that
15 those who represent, especially in terms of where
16 the services are being provided now for the
17 testing services, those who are the most
18 disenfranchised and the people who are not in a
19 health care system.

20 DR. ROBERTS: I just want, so that
21 we all understand because I also had the how up
22 there. And if I understand you --

23 MS. AFFOUMADO: I think you should
24 do the how first. I think the who is really --

1 people are important, but you have to first put
2 together a model that really is a working model.

3 DR. ROBERTS: Let me, since there's
4 obviously disagreement around the table about
5 what that model might be, I just want to push you
6 still further. I understand.

7 Let's talk about what I heard you saying
8 was you feel very strongly about the
9 desirability. And in a sense I hear you relating
10 to Alvin's comment, about linking the
11 availability of diagnostic testing to the
12 availability of comprehensive medical care
13 services for these disadvantaged groups.

14 MS. AFFOUMADO: People who are
15 disadvantaged. There are some who are not.

16 DR. ROBERTS: I understand. Now,
17 if that's the argument, again, is it your
18 viewpoint that we all ought to worry about fixing
19 the health care delivery system before we worry
20 about this issue? Is that what I hear you
21 saying?

22 MS. AFFOUMADO: What I'm saying to
23 you is we are spending billions and billions of
24 dollars on an HIV antibody test which we use for

1 research purposes, surveillance purposes,
2 prevalence purposes, partner notification, and we
3 are not putting together the health care system
4 that really is the model. You know, a test is
5 only a test. It doesn't need anything if you
6 don't have the services to back it up.

7 Education, only education, if you tell
8 somebody that they may be infected with HIV, you
9 just tell them that. What are they going to do
10 with that? If they have no place to get a
11 medical examination and get help for their
12 psychosocial needs and medical needs, what's the
13 point of telling them anything if you're only
14 telling them something that's useless which they
15 can't act on?

16 My feeling is that we have been using
17 testing for everybody else's purposes but the
18 purpose that it should really be used for as part
19 of a comprehensive medical management model. It
20 has created a lot of data for people who really
21 aren't even in the direct service models.

22 DR. ROBERTS: Could I ask other
23 views and reactions around the room? We have had
24 a fairly strong and programatic comment that

1 really has lots of implications.

2 DR. ST. JOHN: I get a little bit
3 nervous when people start off by saying who are
4 we going to test without carefully considering
5 why.

6 DR. CLEARY: This morning we talked
7 about the goals of a screening and testing
8 program. I think it's important to distinguish
9 different goals and they are not necessarily
10 incompatible goals. But there is the
11 epidemiological goal, the individual goal. If
12 our goal is to detect all the people that need
13 care and to be put into a medical system, that's
14 another goal.

15 I think that's why it's very important to
16 have the reason why one is testing clear in mind
17 before you start talking about who and how and so
18 forth.

19 DR. ROBERTS: And what do you
20 think? Paul Cleary and I are old friends and
21 colleagues on a bunch of other projects. One of
22 the problems I had with his presentation is that
23 if you state all possible goals, you don't
24 necessarily sharpen the ability of the goal

1 conversation to inform your programatic
2 priorities, right?

3 So I wondered if you had any sense about
4 -- I mean, I'll put your question back to you, if
5 I could, which of the goals are you particularly
6 concerned with and what do you think those
7 implications in turn are for testing and/or care
8 strategies? In a sense, I'm asking you to answer
9 your own question.

10 DR. ST. JOHN: Among several
11 possible goals, I would like to lump them into
12 two major ones. One is the epidemiological ones,
13 and that has been carried out I think rather
14 successfully through the anonymous testing,
15 unlinked testing of various population groups,
16 try to monitor the spread of this disease in a
17 population.

18 Then the other thing is to look at trying
19 to identify the individuals in the population
20 that now can benefit from some of the recent
21 advances.

22 DR. ROBERTS: And what is your
23 comment about testing as a, which I also heard
24 this morning -- let me say, as we go on, I will

1 take different positions, switch to all sides of
2 the issue. Please don't try to figure out what
3 my own views are because if I'm really
4 successful, you won't know, or, even more
5 important, care what my views are.

6 But I heard this morning somebody saying
7 that there was also the goal of using testing as
8 a targetting device for prevention, which -- you
9 didn't mention that and I wonder how you feel.
10 Alan mentioned that in terms of partner
11 notification just a minute ago. How do you feel
12 about that?

13 DR. ST. JOHN: Since prevention
14 depends so much on individual action, I tend to
15 sort of lump that in with the detection of the
16 individual. I think you would like to want to
17 identify an individual just solely to help that
18 person. You would want to do more than that in
19 terms of adding a prevention component, changing
20 that person's behavior, et cetera.

21 DR. ROBERTS: If we wanted, we
22 could perform a thought experiment in which we
23 could design programs that did less for the
24 people who were already infected and more for the

1 people who were not, or vice versa.

2 DR. ST. JOHN: That's correct.

3 MR. SANCHEZ: I would like to
4 support this lady's position.

5 DR. ROBERTS: Rona.

6 MR. SANCHEZ: I think it's
7 important to look at the economic and the
8 cultural realities in thinking about testing and
9 the stigma that's attached to being HIV positive
10 or even going, considering taking the HIV
11 antibody test, and also the health care systems
12 that are in place. I know that for many people
13 that we service at the Commission, what they do,
14 people of color and poor people basically go to
15 the emergency room at the hospital. And the
16 systems that are in place in the hospitals are
17 inadequate, they are overcrowded, and there is an
18 inability to deal already with the impact of the
19 epidemic as it stands.

20 So I agree with what Rona is saying.
21 People that go and take a test and test positive,
22 where are they going to turn to? To the same
23 clinics in their communities who are at this
24 point many of them are unable and unwilling to

1 deal with an HIV positive person. We experience
2 a lot of avoidance and a lot of rejection to
3 treat and service a person who is HIV positive.
4 I work for the Commission on Human Rights in the
5 AIDS Discrimination Division.

6 DR. ROBERTS: Marie, you had wanted
7 to get in a minute ago.

8 MS. ST. CYR: I just think that the
9 who limits prevention. When we look at the who,
10 we fall much more quickly into the curative
11 model. This is much more compatible with how we
12 see medical care. For the communities that I
13 have talked about in terms of disenfranchised and
14 poor communities, it doesn't at all respond to
15 the need for prevention model.

16 DR. ROBERTS: This is a very
17 interesting point. In response to what I said to
18 Ronald, I just want to push us on this, that, of
19 course, you can target prevention in ways other
20 than through partner notification, contact
21 tracing, or whatever. So there are other ways to
22 do prevention other than through testing. Do I
23 understand?

24 MS. ST. CYR: Yes.

1 DR. ROBERTS: So are you saying
2 that you think testing is not particularly
3 effective, or not, should not be viewed primarily
4 as a preventive strategies, that other preventive
5 strategies are more important?

6 MS. ST. CYR: I think there needs
7 to be a parallel approach that looks at other
8 ways of dealing with prevention in those
9 communities; that if we only did testing, and
10 we're talking about discrimination, fear of
11 disclosure, we're talking about denial, and we're
12 asking people to test.

13 For one thing, there is a lack of
14 understanding for the reason they are testing.
15 There are many people who don't understand the
16 treatment, or don't trust the information. So
17 you have to have some parallel model to deal with
18 the needs of those communities as well.

19 For example, if you look at the black
20 community where we have a family focus, church
21 focus, support, historically, then you would want
22 to consider infusing information about HIV in
23 these communities in such a way that it effects
24 the whole family system, and the whole leadership

1 in terms of the churches and the leadership, for
2 example, like the black leadership commission on
3 AIDS that are becoming a voice of the people.

4 DR. ROBERTS: So are we talking
5 about the possibility of a community and
6 institutional approach to prevention as opposed
7 to just an individually oriented approach to
8 prevention? Interesting.

9 MR. LEVI: I also have a problem
10 with, and I think what it does is bring us back
11 to the care system, but with the original
12 question defining who should be tested as those
13 who are at high risk --

14 DR. ROBERTS: I didn't define it
15 that way.

16 MR. LEVI: And you started defining
17 who would benefit those who are at high risk,
18 which implies that you would target your efforts
19 of people being tested to those who are at high
20 risk.

21 DR. ROBERTS: That was Peter's
22 comment just so we can blame the right victim.

23 MR. LEVI: The problem I have with
24 that is there is the fundamental assumption that

1 the system that is going to be offering the
2 testing, which in many instances is going to be
3 the care system, will know how to identify people
4 who are at high risk. And if we know anything
5 about the medical and health care profession, it
6 is that they are particularly uncomfortable
7 asking people about their sexual history, and
8 particularly, and assuming they want to cope with
9 IV drug use, they are probably equally
10 uncomfortable asking about that, and they
11 certainly wouldn't ask certain types of their
12 patients because it would be an insult to ask
13 that sort of thing.

14 If it's a family practitioner, the husband
15 and wife are patients, they are not going to ask
16 the husband about whether he engages in
17 homosexual sex as well as heterosexual. All
18 those issues, I think, makes it important to
19 look, to do something that I don't want us to do
20 yet because of other issues; and that is, if we
21 think HIV screening is that important, should it
22 in an ideal world be offered as routinely as
23 women after a certain age are offered mammograms
24 and so on.

1 Everyone is offered an electrocardiogram.
2 Should that level of screening be occurring? And
3 I don't think we are at a point where that would
4 be acceptable or workable. But then you have to
5 go and look at the system and say, what can we do
6 in the system to make it that way.

7 DR. ROBERTS: Two comments or
8 questions. The first one, one of the comments
9 Paul Cleary made this morning and he passed over
10 it very quickly, is that the ratio of false
11 positives to true positives, I'm sure you know
12 this as well as I did, if not better, in any
13 screening system depends on the rate of true
14 positives. I mean, if the false positive rate is
15 one percent and the true positive rate is four
16 percent, then a fifth of the apparent positives
17 will be false. If the true positive rate is only
18 one percent, then half the apparent positives
19 will be false.

20 And when he talked about the desirability
21 of limiting screening to high risk populations
22 because in a sense he sees the false positives as
23 a cost of testing and the true positives as the
24 benefit of testing, and he wants to do testing

1 where the costs are commensurate with the
2 benefits. This is a fairly standard argument
3 now, and it seems to cut against what you just
4 said and I wondered if you would respond.

5 MR. LEVI: The two points I would
6 make is fundamental to your assumption is that
7 you are able to, you are going to know when you
8 test someone that this person is truly high risk
9 or not. I don't know that we can do that.

10 And I guess secondly on that, I would pose
11 the second issue to John Ward, and that is once
12 you've gone through the two ELISAs and the
13 Western blot, are we still dealing with the
14 general population as opposed to the high risk
15 population, whatever that is, with those kinds of
16 levels of false positives?

17 DR. ROBERTS: I heard you say the
18 false positive rate was, what, .15 percent?

19 DR. WARD: You described what
20 predictive value was in terms of it depends upon
21 the population you're testing. I made a mention
22 in my remarks that you always want to connect the
23 interpretation of the lab result with what you
24 know clinically about that individual whenever

1 you can. It does impact on how you interpret
2 test result. And after you finish the ELISA and
3 you come up with the Western blot and you come up
4 with a positive result in someone whom you've
5 evaluated and believe to be low risk, you have to
6 be more concerned that test to be a false
7 positive and you want to repeat that test, versus
8 someone coming in with conditions associated with
9 infections of HIV.

10 DR. CAULEY: I wanted to follow up
11 on Jeff's observation and make it a little more
12 specific in that we spent the first several years
13 of this epidemic identifying the distinctions
14 between risk populations and risk behaviors and
15 we made a big deal about that and talked about
16 not identifying risk populations.

17 It seems to me that the shift in thinking
18 to now talking about risk populations has to do
19 with an expectation that if in fact we identify a
20 risk population we are somehow going to be able
21 to serve them medically. If in fact that is the
22 assumption, then the shift needs to go back to
23 Rona's comment about the health care system. If
24 that's not the assumption, I would like to know

1 how come we're talking risk populations.

2 DR. SMITH: I don't think we were
3 talking about risk populations. I think when I
4 said that the premise should go to those who have
5 the most benefit, it's a functional definition,
6 not a population definition.

7 In other words, if you identify behaviors
8 that are related clearly to HIV positivity, those
9 are the type of persons who are most likely to
10 benefit from it.

11 I must also state in response to Jeff's
12 comments that the medical profession has learned
13 an awful lot. I mean, we --

14 MR. LEVI: I think we have a long,
15 long way to the medical profession being that
16 comfortable.

17 DR. ROBERTS: That is clearly
18 always a safe claim.

19 DR. SMITH: We have learned an
20 awful lot. I think that many of us, speaking for
21 myself also, are asking the questions that you
22 are mentioning. We are having to do this and we
23 must do it. But I do think that now that we are
24 really on a threshold of an era where we can do

1 something about it, practice, intervention and
2 comprehensive care, such as --

3 MS. AFFOUMADO: That's the
4 problem. You've learned a lot, but the people
5 you've learned a lot about can't use your
6 knowledge because you're not going to take care
7 of them.

8 DR. ROBERTS: We still have
9 unresolved issues on the table, the issue both
10 Alvin and Rona raised, and I offered it as a
11 paradox, and Alvin took the one end of the
12 spectrum but I push it back to you. That is, in
13 some ways lots of individuals who are likely to
14 be infected are also individuals who are not well
15 connected with the care system. And that poses a
16 very serious, it seems to me, strategic problem
17 about to what extent are you trying to reach out
18 to them through testing, and to what extent do
19 you want to reach out to them through the care
20 system of which testing is a part.

21 And the question that I heard Jeff, the
22 point I heard Jeff say, which I wondered whether
23 you disagreed with, Peter, is the point that says
24 some of those historically underserved groups are

1 for a whole variety of reasons not groups that
2 the medical profession is rushing to serve,
3 either because of sociological or because of
4 financial barriers. Do you disagree?

5 DR. SMITH: I don't disagree with
6 that.

7 MR. DALTON: This really arises out
8 of something that Marie said this morning that
9 I've been struggling with since then. She
10 described a woman, I think to be a black woman,
11 who somehow managed to find herself into a
12 clinical trial, which is always impressive to
13 women, who was on AZT who in fact stopped taking
14 her AZT but continued with the program because
15 this was her primary medical care. So if we have
16 somebody who has found medical care not by going
17 to a medical practitioner or even to an emergency
18 room, which is unfortunately the primary care of
19 choice for people in that class and race, but
20 through a drug trial, of all things, but
21 obviously is HIV positive and on AZT but then
22 stops taking the AZT, I wasn't sure what you
23 thought that was about.

24 The message you gave this morning was,

1 well, people who are conducting trials don't
2 understand the results may be a little bit -- I
3 was wondering whether the kind of distrust that
4 Alvin was talking about before even extends to
5 the point that even when people are supplied
6 treatments, they still may not avail themselves
7 of it?

8 MS. ST. CYR: There are a number of
9 factors, I think. The primary one is that this
10 woman feels, it's not only one woman, 5 out of
11 112 women that we have, feels that it is where
12 they can get medical care with concern; that the
13 clinical trial, persons who are working with
14 them, take time to talk to them; that the woman
15 feels this is where she receives good care that
16 she needs.

17 The second factor is she stopped taking
18 the medication because she says that she doesn't
19 feel better with the medication. But she is not
20 relating that to her medical doctors.

21 MR. DALTON: In other words, maybe
22 the side effects of the medication are
23 sufficient.

24 DR. ROBERTS: We do know, Harlon,

1 it's not at all uncommon in all ethnic and income
2 groups for many patients not to continue on
3 medication regimes if there are either side
4 effects or lack of noticeable benefit. We've
5 seen this in hypertension medication and a whole
6 bunch of other areas.

7 DR. SCOTT: I would like to comment
8 on the issue of concern about false positivities
9 and go on and further mention what populations we
10 can reasonably test. I am convinced from data
11 from the armed forces and the Minnesota blood
12 banking test systems that the risk of false
13 positives in a very low prevalence population is
14 extremely low. And it is very unlikely that
15 anyone is going to have hung around their neck a
16 false positive designation, especially when done
17 in the context of a careful clinical evaluation.

18 So I think it's reasonable to offer the
19 test to anyone. And rather than saying you are
20 obviously, through history or behavior or
21 whatever, a high risk person, even though
22 sometimes that's clear, many times it's not
23 clear. So the key about who is the person
24 themselves that should be afforded the

1 opportunity to have the test, based on, at a
2 presentation as part of the overall counseling,
3 which deals with who in fact is at high risk.
4 What are high risk behaviors? And you, yourself,
5 determine whether or not you want the test.

6 Then we can take the sting out of whether
7 we are going after specified groups.

8 DR. ROBERTS: So this is similar to
9 what Jeff was arguing, in a sense, a moment ago.

10 DR. SCOTT: Yes. And the test is
11 very good. The specificity of one in a million,
12 if you look at the Minnesota one, it's stunning,
13 when the laboratory service is properly done.

14 DR. COTTON: I want to make
15 comments that probably center around that
16 caveat. I think that an earlier speaker
17 distinguished between essentially testing for
18 screening and testing for diagnostic purposes. I
19 think clearly all of us understand that there is
20 a tremendous difference between those two modes
21 of testing.

22 The gentleman who just spoke, I'm sorry, I
23 can't see the name plates, talked about the fact
24 that he felt that false positives were so low

1 that in a diagnostic setting they were
2 acceptable. And I think with some reservations,
3 I would say that's true.

4 DR. ROBERTS: What are your
5 reservations?

6 DR. COTTON: First that the data
7 that we've heard largely about the quality
8 control of testing has indeed come from the
9 military and places like Minnesota who have spent
10 an extraordinary amount of care in that quality
11 control. The military, when they set up their
12 program, set out blinded panels of specimens to
13 multiple laboratories, only picked those
14 laboratories that achieved the best scores on
15 that system, have a built-in system of quality
16 control to make sure that those laboratories meet
17 certain minimum standards.

18 I am a person who gets phone calls about
19 false positives, and they are not, I would guess,
20 one in a million. I think that those of us who
21 dealt with the whole question of medical testing
22 in other settings, be it cholesterol testing,
23 chest x-rays, PAP smears, know that there is a
24 tremendous diversity of quality across

1 laboratories.

2 I agree, though, that in the clinical
3 setting I have some handle on that. If I have a
4 patient who has high risk behavior and that
5 person has a positive ELISA confirmed by Western
6 blot, I feel that to the extent humanly possible,
7 that is a real result, I would believe that, I
8 would deal with it.

9 In a screening setting, it's an entirely
10 different issue. You don't have that information
11 until you already have the test result back that
12 you have to go out and get that information at
13 that point.

14 So I think the issue of false positives
15 and how important they are in fact depends on how
16 you are doing the test or who is doing the test.
17 I think that we shouldn't oversimplify that
18 issue.

19 I think part of the problem we are having
20 here this afternoon is that we are dealing with
21 many things that are changing very rapidly. The
22 test has become better in terms of quality
23 control, although certainly not at the standard I
24 think is acceptable. We have therapies that

1 clearly are working, but I think none of us who
2 use those therapies feel that we have made
3 dramatic progress in terms of actually curing
4 people. And we have an epidemic that is clearly
5 moving in terms of who it infects. So that we
6 are at a particular point in time.

7 If we had a conversation about testing two
8 years ago, I think most of us would be saying
9 very different things than we are saying this
10 afternoon. I suggest that if we have this
11 conversation in two years, we might be saying
12 very different things. So we have to be very
13 aware of the point in time that we are all
14 working at, and I think clearly distinguish why
15 we are testing isn't screening or diagnosis
16 before we can really answer the question as to
17 who should be tested.

18 DR. ROBERTS: I understand two
19 points that I hear you making. First, the fact
20 that things are different, the test is different,
21 the treatment is different, the epidemiology is
22 different. I take it it is that perception that
23 leads to the meeting today, right? That, in some
24 ways, as I understand it from the Commission,

1 this issue needs to be looked at because the
2 situation is so dynamic.

3 And I hear clearly a warning to all of us
4 that anything anybody concludes about this now,
5 they have to be prepared to reopen in another two
6 years because it could be quite different in
7 another two years. I think it is a helpful
8 caveat.

9 I want to go back to the first part of
10 what you said, about the difference of a clinical
11 versus a screening context. In a sense, what I
12 heard you saying, and correct me if I'm wrong, is
13 that in a clinical context, you have some sense
14 who is high risk. And it's not a high risk
15 population; it's a high risk individual. And
16 that, therefore, in a sense you can manage the
17 information. And, in a sense, that's really
18 quite parallel to what Rona was saying about the
19 meaning of the test depends on the care system in
20 which it's embedded.

21 MR. GOLDMAN: You can go on and
22 come back to me later.

23 MR. DALTON: Just a short
24 clarifying question. I thought I heard Denman

1 say that he is quite convinced that the false
2 positive rate in a low population is really
3 minute. I think -- he said therefore there is no
4 problem with testing everybody. Did you say in
5 the low prevalence population?

6 DR. SCOTT: Yes, that's right. We
7 don't have, and I don't know of anyplace where
8 you're doing mass screening outside of a
9 therapeutic context. I think any testing is
10 properly done, except for the anonymous blinded
11 epidemiological surveys in a therapeutic context
12 so that somebody is counseled, talked to, and
13 advised and makes that decision. But it is
14 reasonable to talk to anybody about it, given the
15 fact that no matter how skillful a historian in
16 terms of sexual preference, drug use, et cetera,
17 you might be, you still will be buffaloes many
18 times because the issues are and always will be
19 so sensitive.

20 So it is safe --

21 DR. ROBERTS: I see Jeff saying
22 that's what he was saying.

23 MR. DALTON: I take it there is no
24 reason to be a historian if in fact even the most

1 low prevalence populations, you are saying the
2 false positive rate --

3 DR. ROBERTS: Let's be clear about
4 a technical fact, Harlon, because I think it's
5 important. The absolute number of false
6 positives is relatively independent of the
7 prevalence rate. It is the relative number of
8 false positives that really depends upon the
9 prevalence rate.

10 MR. DALTON: But I would assume, I
11 can't tell whether in fact Denman agrees with
12 that, and if he does, it seems it follows that if
13 one is not to be concerned with dealing with the
14 low prevalence population about the relative lack
15 of the false positive, there is even less concern
16 with the high risk. And, therefore, all this
17 stuff about history, from his perspective, is
18 neither here nor there.

19 DR. SCOTT: Screening means double
20 ELISA followed by the Western blot, not the
21 single ELISA.

22 DR. NOVICK: I wanted to go back to
23 goals for a moment in two ways. First, I think
24 we probably all agree that it is an appropriate

1 goal of testing to lead to prevention. That is
2 what we call safer sex or safer drug use; that
3 is, reducing transmission, or to lead to medical
4 intervention or to develop data.

5 Now, having said that, I want to call to
6 your attention historically it hasn't been
7 associated with those goals. Frequently, the
8 goal of testing has been to stigmatize because in
9 many settings people haven't been given any
10 counseling on how to preclude transmission.

11 For example, in the prison system, where
12 testing has been most prevalent, counseling has
13 been least prevalent.

14 And in another way, more broadly,
15 prevention has focused not on the counselee, but
16 on his unknown partner; that is, massive
17 investment in partner notification and almost no
18 investment in the client, him or herself, because
19 the federal government and state governments are
20 so shy about allowing specific language to be
21 used in counseling; that is, language around
22 condom use, around not sharing injection
23 equipment, around seeking to gain access to
24 sterile equipment, and other kinds of counseling

1 that state and federal officials are very
2 embarrassed by.

3 So, indeed, the goals in the past have not
4 been the ones we are talking about. So we also
5 have to keep in mind that we want good goals
6 now.

7 And my last comment is that we can achieve
8 intervention without massive screening or other
9 ways by developing STD clinics, prenatal clinics,
10 other health care sources where physicians could
11 identify by history vulnerable people and move
12 into being individually in the health care, we
13 certainly can develop educational prevention
14 modalities without massive screening. We know
15 exactly how to do that. We address our messages
16 in a focused fashion to all the different
17 American communities. That doesn't require
18 screening anybody.

19 So we have to figure out why we want the
20 tests. I think that brings us back to the
21 possibility that we really wanted to stigmatize
22 and not to facilitate.

23 DR. ROBERTS: So what I hear you
24 saying to us all is that even if there is

1 agreement around the table around what good
2 testing would look like, that doesn't mean that
3 the world conforms to that notion.

4 DR. NOVICK: It doesn't mean that
5 it leads to what we would tend to agree upon as
6 the goal of good testing, which is to keep people
7 well and to keep them as well-managed if they are
8 already ill.

9 MS. DIAZ: I would like to ask Alan
10 another question. This morning when you talked
11 about the 70 percent of people that are tested in
12 this country coming through the public system, is
13 that a correct figure?

14 DR. HINMAN: Of those who know
15 about their infection, our estimate is about 70
16 percent.

17 MS. DIAZ: They come from the
18 public funded system. Are there standards in
19 which those systems are operated, meaning that
20 they are federally funded?

21 For example, if a person comes through an
22 ATS, let's say, in Boston, is that same kind of
23 standard of service available through the ATS in
24 LA or North Dakota? Are there general standards

1 are operation of those facilities?

2 DR. HINMAN: In the broad sense,
3 yes. But in terms of uniformity across the
4 country, there is not. We do not talk about
5 testing alone. We talk always about counseling
6 and testing. And there is more counseling done
7 than there is testing done. There are people who
8 come to alternate test sites who go through the
9 process and decide not to be tested.

10 So that we believe that there is an
11 important educational component to this, not just
12 the taking of a blood specimen and the performing
13 of a laboratory test.

14 Since I have the floor for a second, I
15 would also point out that we regard counseling
16 and testing as an important part of our
17 educational activity, our prevention activities.
18 But we are, in fact, investing in school-based
19 education and mass media, in targeted
20 informational activities. We are currently
21 supporting, for example, more than 500
22 community-based organizations, which are by and
23 large bringing educational messages to population
24 groups which disproportionately contain

1 individuals at risk.

2 MS. DIAZ: Do you also fund the HIV
3 testing and counseling that is done in those
4 other corollary services of public health? For
5 example, when you mentioned family planning
6 clinics, STD?

7 DR. HINMAN: Yes.

8 MS. DIAZ: Is there any way or
9 documentation that you have for us today or in
10 the future of how many people that came in
11 through those publicly funded systems of
12 counseling and testing have in fact changed their
13 behavior in some way? Is that part of the
14 evaluation?

15 DR. HINMAN: That is a part of
16 evaluation, but one in which we do not have much
17 information at the present time. I can tell you
18 that persons who come to the counseling and
19 testing sites, the alternate test sites, a high
20 proportion of those who come receive their
21 tests.

22 Of those who are tested, a high
23 proportion, I can find the figure here in a
24 minute, on the order of 80 plus percent return

1 for the test results. Whereas -- and I can tell
2 you that in some specifically studied situations,
3 there have been demonstrable changes in
4 behavior. It has been very difficult, if not
5 impossible, to try to separate out the
6 independent effect of counseling versus testing,
7 or the effect of knowing sero status versus the
8 impact of counseling and testing.

9 DR. ROBERTS: The problem is that
10 unless we were to run a study in which we just
11 gave people test results to one group and another
12 group gave testing plus counseling, it's hard to
13 know how much the effect is the counseling versus
14 the pure testing, is that what you're saying?

15 DR. HINMAN: That's correct.

16 DR. ROBERTS: And then there is the
17 ethical question about whether you could run such
18 an experiment.

19 DR. MAZZUCHI: I want to echo the
20 sentiment about the goals because, again, if the
21 goal of testing is counseling and prevention, you
22 don't need testing for that. The goal for
23 individual testing ought to be to provide
24 treatment. And as treatment, as drug therapies

1 becomes more promising, that seems to me the only
2 logical goal. To provide testing without
3 providing the treatment or making the treatment
4 available seems to be incongruous with us.

5 DR. ROBERTS: You're saying that of
6 the goals we talked about, and we talked earlier,
7 Marie raised the issue about whether we needed
8 testing for prevention, you're pushing Alan even
9 further. He says we do prevention via both
10 groups. You're saying using testing as a
11 priority setting device for prevention isn't
12 necessary; and, therefore, to test without in any
13 context other than treatment is inappropriate.

14 DR. MAZZUCHI: I wouldn't say in
15 any other context, but it certainly doesn't seem
16 to make much sense to do testing without
17 treatment. I think that has to be the primary
18 goal.

19 DR. O'NEILL: I think it's worth
20 taking another minute to get a little more
21 clarity about this issue of populations. There
22 is, in fact, when you think about the United
23 States, there is a population of a finite number
24 of people who have risk behaviors that would put

1 them at risk for HIV infection. Part of the
2 purposes of being able to take or taking a sexual
3 history is to identify whether this individual
4 may in fact be a member of that group with a risk
5 behavior.

6 The point I'm making is that the purpose
7 of a sexual history is not just to identify that
8 person but to also educate that person in terms
9 of what they may be doing or not doing to protect
10 themselves. So there is a prevention component
11 as well as an identifying component that has,
12 that occurs when a sexual history is taken.

13 I am saying this particularly because I
14 don't want the point to be missed of the
15 importance of the professional education or
16 education of providers around these issues.

17 DR. ROBERTS: But there is still
18 the difference between, which was mentioned
19 earlier, there is the difference between saying
20 it's important to test people who are at high
21 risk, and it is important to test high risk
22 populations. I mean, there is a clinical
23 therapeutic individual diagnostic aspect to high
24 risk, and there is a screening aspect to high

1 risk.

2 DR. O'NEILL: You're talking about
3 the difference between the clinical and screening
4 settings. When you're talking clinical, you're
5 saying is that person sitting across the table
6 from me a member of that group that has high risk
7 behavior. To the degree that the provider can
8 determine that, A, that person will be identified
9 as a member of that group, and, B, that person
10 may become educated as to why they are a member
11 of that group.

12 DR. ROBERTS: I don't hear any
13 disagreement around the room about the
14 desirability of that. And rather than keep going
15 over that, I would like to go back to the other
16 context, which is a little bit this question
17 about to what extent should outreach activities
18 and testing for both treatment and prevention be
19 directed. I think Alan put it delicately when he
20 talked about the community-based organizations,
21 organizations whose constituencies contain a
22 large percentage of individuals who might be at
23 high risk, if I remember exactly how he put it.

24 I wonder if I could push you further about

1 how you view that.

2 Should we be setting priorities in
3 outreach priorities, urging people to get tested
4 through the mass media? Are you guys about to do
5 that? Are you urging certain kinds of people to
6 get tested? What do you think about that?

7 I heard this morning when you described
8 the five or six themes of the campaign that that
9 was conceivably one of the things. I'm sorry,
10 that was Alan. Harvey, and then we'll come back
11 down.

12 DR. MAKADON: I guess I'm wondering
13 whoever was using the term testing as the initial
14 focus of the discussion is pushing everybody's
15 buttons. And keeping the discussion from getting
16 on to what I think is the real issue, which is
17 how do you enable communities who might benefit
18 from early intervention to find out about it and
19 seek and get the kinds of services they need in
20 order to maintain their health and prevent the
21 development of AIDS and also to find out that
22 they might be HIV negative and then kind of
23 trigger a higher level of prevention.

24 But the testing issue kind of breaks out

1 that issue which everybody gets so wild about
2 that we can't even get into talking about what we
3 would do in disenfranchised communities to enable
4 people to find out about the prospects of
5 treatment. I also suspect in empowering
6 communities to do some of their own advocacy
7 would be better than developing our own model of
8 care which brings us to how are you going to
9 finance it as opposed to how do you expect
10 communities to develop a level of care and try to
11 seek and demand it?

12 DR. ROBERTS: You just confused
13 me. Are you saying that if you want communities
14 to be empowered, what kind of a conversation can
15 those of us who are not part of that community
16 have?

17 DR. MAKADON: I guess what I'm
18 saying is I think we should focus not so much on
19 who should be tested or where, but do we want to
20 let people know about early intervention, and how
21 do we do that.

22 And I'm wondering whether in the process
23 of that the demand for services and the
24 development of services could come from the

1 communities up as opposed to a group like this
2 sitting and saying this is the perfect model for
3 health services delivery, a discussion which many
4 of us have been involved in and which always
5 comes down to problems of financing primary
6 health care. The recommendations have been made
7 a million times.

8 It seems a different approach has to be
9 taken in order to come up -- that would be a
10 great goal and grade end if we could achieve it.
11 But we've just had the same conversation too many
12 times. There needs to be another way to get to
13 that end. I'm wondering whether the communities
14 at risk and people might be better demanding some
15 of that than we might be, and we should think
16 about how to educate people about early
17 intervention and, therefore, develop a process
18 where people could ultimately demand better
19 health services.

20 MR. SANCHEZ: May I respond to
21 that? I hear what you're saying, and it does
22 make sense. But the problem is that there isn't
23 equal access to early intervention. And early
24 intervention is expensive. Who is going to pay

1 for it? The insurance companies are cutting
2 people off from insurance. They are rejecting
3 people who are HIV positive. And Medicaid is not
4 going to pay for it.

5 So you're talking about gathering people
6 in poor communities to advocate for early
7 intervention when early intervention is not a
8 reality for them.

9 DR. MAKADON: I think it would help
10 us get beyond the testing issue to say how do you
11 educate a population about the problems of or the
12 possibilities of early intervention. One side
13 effect of that might be to mobilize people around
14 the world. I'm not saying we shouldn't.

15 MR. LEVI: We can't afford to wait
16 that long.

17 DR. MAKADON: I'm not saying we
18 shouldn't do these things, but this conversation
19 will come down to financing primary health care.

20 MR. LEVI: I agree with you that
21 they are focusing too much on testing because, my
22 assumption here was we were talking about testing
23 as it is linked to early intervention and that we
24 could spend days on.

1 DR. ROBERTS: It would now be a
2 good time to make the transition to early
3 intervention.

4 MR. LEVI: And it may be easier to
5 go backwards to some of the testing issues. I
6 guess that requires looking at why people aren't
7 part of the system, the care system, for one
8 reason or another. But I certainly wouldn't want
9 us to end up saying that the solution is to do a
10 lot of community organizing, to create the
11 pressure for access --

12 DR. MAKADON: That wasn't my
13 point.

14 MR. LEVI: We are talking about,
15 and I think one of the messages that this
16 Commission needs to bring back to those who can
17 make these decisions and finance some of these
18 interventions and programs, whatever wonderful
19 model we construct, is that we have a very narrow
20 window for literally possibly half a million
21 people to be provided those interventions so that
22 they don't become sick or don't become sicker.

23 But I think that if we're going to -- I
24 think we need to separate out the testing issue

1 as a vehicle for screening, and the testing issue
2 as it may or may not be an adjunct to counseling
3 without prevention and really talk about testing
4 as it relates or talk about what early prevention
5 needs to look like and how that relates back to
6 testing, and what are the obstacles for people
7 getting into that care system.

8 DR. ROBERTS: What are the
9 obstacles for getting into the care system?

10 MR. LEVI: There are several
11 things. One is, look at why disenfranchised
12 groups are either not in the system or create
13 alternative systems. Why is there a Community
14 Health Project in New York? Why is there a
15 Whitman Walker Clinic in Washington? Why is
16 there the Fenway Clinic in Boston? It is because
17 people have felt that the established medical
18 system has not been responsive to their peculiar
19 needs or personal needs; that they haven't felt
20 comfortable giving a sexual history to their
21 physician. They haven't felt comfortable letting
22 that sexual history be part of their insurance
23 company's records down the line.

24 Those are obstacles that present

1 themselves even to, and when you look at the
2 original people who formed those clinics, those
3 are relatively middle class individuals.

4 DR. ROBERTS: What about all the
5 other people?

6 MR. LEVI: Who don't have primary
7 care physicians at all, either because they don't
8 have insurance or Medicaid, or even if they are
9 Medicaid eligible, the system is so terrible that
10 it isn't worth getting into until there is a
11 crisis. The whole notion of monitoring and
12 prevention care just isn't available to them for
13 various reasons.

14 MS. AFFOUMADO: It's much more
15 complicated than that, Jeff. I think you have to
16 start from the philosophical basis of what we
17 consider health care in this country. It is
18 twice as oriented. It is dominated by terciary
19 care, specialty services. It is not a prevention
20 model. We pay a lot of money for crisis
21 intervention. We pay nothing for prevention on a
22 community base which would save millions of
23 dollars.

24 We don't value primary care in this

1 country. Primary care physicians get less money,
2 have no prestige in hospitals, there are few
3 residency programs, there are few medical schools
4 who want to attract physicians who want to commit
5 to the system to do community medicine. There
6 are very few. There are 168 residencies left in
7 this country. The National Health Service Corps
8 was just taken apart. You have all these 330
9 clinics across this country. You have staff
10 shortages. They don't have the same salary
11 patterning with the privates and voluntaries.
12 You have all of these problems.

13 We do not value health in this country; we
14 only value illness. We are an illness-oriented
15 society. And this is a tragedy. This is why we
16 have the mess that we are in right now.

17 Let me say one other thing because I think
18 historically you have to look at this disease.
19 Everybody is sitting around this table and saying
20 isn't it nice; now we have early diagnosis and
21 treatment. Well, we had early diagnosis and
22 treatment ten years ago, but the reason we didn't
23 look at it is because we have this health care
24 system that only deals with end stage illness.

1 We don't allow people to come into the system
2 when they may have a little chill. They have to
3 come into the system when they have to go to the
4 emergency room, like Harlon was talking about,
5 because there's nobody who will pay for their
6 care if they have a sore throat and a fever, but
7 it will pay for their care if they have their
8 heart opened up with open-heart surgery.

9 Now, let me finish because I think before
10 you look at models you have to understand the
11 reality of primary care for this disease. And I
12 think you have to understand the history of this
13 disease in terms of this country. Now, some of
14 it was because we had a crisis and there were
15 people getting sick and we really needed to look
16 at it. But all of a sudden we have AZT and now
17 we have early diagnosis and treatment. We have
18 been treating symptomatically since 1983. People
19 came with thrush, we treated them for thrush.

20 DR. ROBERTS: Rona, please. You've
21 got to let me set some boundaries on your
22 enthusiasm.

23 MS. AFFOUMADO: My passion.

24 DR. ROBERTS: I agree, and I

1 respect your passion, but part of my job is to
2 help a lot of people who feel passionately and
3 and want to participate. The point that you made
4 at the start that I really don't want to have us
5 get lost because it seems to me it's a very
6 important underlying issue is the extent to which
7 the way we deal with HIV infection parallels and
8 plays out the way the medical care system deals
9 with everything else. And the extent to which
10 it's difficult or easy to construct, community
11 outreach, prevention, et cetera, with AIDS,
12 parallels the difficulties to construct community
13 outreach and construction with regard to
14 anything.

15 So what I hear you saying to us is there
16 are big provider system issues here that in
17 addition to financing and social discrimination
18 and a bunch of other things that make this a more
19 difficult problem than it otherwise might be if
20 the care system was differently oriented.

21 MS. AFFOUMADO: I have to say one
22 other thing. We have to define what our goal is
23 and what our model is. I'm not saying that the
24 money is going to be there, but then we'll

1 understand that we have access for change in
2 funding systems. But first you have to make a
3 definition.

4 DR. ROBERTS: I just want, I heard
5 Harvey saying earlier, and I just want to flag
6 what is conceivably your disagreement, to have
7 everybody say, gee, if we had a really terrific
8 universal prevention-oriented health care system,
9 this would be a lot easier. What I hear Harvey
10 saying is since he doesn't think we're going to
11 get it, he wants to have a slightly different
12 conversation.

13 Am I being unjust to you, Harvey?

14 DR. MAKADON: No, you're not being
15 unjust. I mean, I think we have to look at
16 whatever we can do. It would be nice to develop
17 a "model of care". It would be nice if that was
18 a primary care model where people could get not
19 just prevention for AIDS but other things at the
20 same place.

21 I'm just not, I don't think it's going to
22 work. And when you're sitting and talking about
23 the things you're talking about, last year the
24 catastrophic health bill got voted down. What's

1 the prospect that Congress is going to do
2 anything new in health care delivery, here we are
3 in Massachusetts. And you're talking about New
4 York City's special reimbursement health care
5 programs. We have a really different system.

6 I mean I kind of feel like we need to
7 focus on how to educate people about the
8 possibility at the same time we're working at
9 this end of developing a model and let people
10 demand some services that ultimately the public
11 sector is going to need to respond to. But
12 without that demand, coming from people living in
13 communities, I'm not sure anything is going to
14 happen.

15 MR. GOLDMAN: I don't think that
16 anybody would disagree that if there were access
17 to care available that testing would be a useful
18 tool to allow people to get it. I wonder whether
19 or not in terms of the issue of benefit, looking
20 at it from the perspective of the benefits of a
21 person being tested in the context of a system in
22 which care were accessible, that's not a true
23 reality, is there any reason not to test other
24 than an economic one in terms of groups? Do we

1 end up dealing with the same issues we do in a
2 mammography? Women over 40 should have them and
3 women under 40 shouldn't, whatever this year's
4 criteria may be in terms of the economics of it?

5 Is the issue in terms of whether or not to
6 target HIV testing in a high risk groups, target
7 --

8 DR. MAKADON: It's defining a high
9 risk group. Women over 40 are a higher risk
10 group than women under 40.

11 MR. GOLDMAN: And the reason we set
12 that is for economic reasons?

13 DR. MAKADON: And also because of
14 the risk of the false positive rate.

15 DR. COTTON: And radiation.

16 MR. GOLDMAN: So it's the same
17 constellation of considerations that would lead
18 us to go to a conclusion saying you ought to be
19 looking at people who engage in high risk
20 behaviors as being targets of the testing. Is
21 there a different constellation of
22 consideration?

23 DR. CAULEY: Except, as I'm
24 understanding your question, choosing to have or

1 not have a mammography or being in whatever risk
2 group as being identified does not carry with it
3 any potentially discriminatory factors in terms
4 of care, housing factors. The case law doesn't
5 suggest that they are getting that protection.

6 So it seems to me it does have a little
7 bit different piece to it, in addition to the
8 financial aspect.

9 DR. ROBERTS: What you're hearing
10 is that in place of the radiation risk, there is
11 the social risk, and the social risk is pretty
12 high. I think, is that right?

13 DR. CAULEY: Correct.

14 MR. GOLDMAN: One more question.
15 In terms of the issue of access, what is the
16 difference, or is there none from what I hear, in
17 terms of questions involving, let's say, a
18 hypertension program or screening? There are a
19 whole bunch of hypertension programs. Are we
20 talking about hypertension largely affecting some
21 disadvantaged groups who have similar
22 characteristics, particularly in terms of
23 minority populations, and in many parts of the
24 country we have this wonderful program to go to

1 your local shopping center and get your blood
2 pressure tested and then try to make an
3 appointment at your local clinic for a
4 hypertension program and it doesn't exist?

5 I'm curious as to whether we're talking
6 about the same thing in a different context, or
7 whether or not there are differences in that kind
8 of milieu.

9 MR. ENGSTROM: I think one of the
10 things I wanted to comment on is I don't think
11 we're ready to use the HIV antibody test in the
12 same way as we run blood pressure screening
13 clinics. I think it's incredibly dangerous. The
14 group of people that are using the tests, in
15 terms of individuals who engaged in risky
16 behaviors, are the people that are getting tested
17 once every three months. They almost place more
18 control outside of themselves in terms of their
19 own health the more times they get tested. And
20 they keep getting rewarded with the negative test
21 results.

22 Until we have a cure, I think that the use
23 of the antibody test could actually be
24 destructive in terms of our prevention goals.

1 The bottom line is a long-term behavior change.
2 We have to think about the use of the test from
3 that standpoint only when we're talking about
4 prevention. I get very, very concerned because
5 we made a lot of mistakes in other areas of
6 public health education when we haven't thought
7 about those issues and really looked at what is
8 the individual psychology going on and how do we
9 structure the prevention, what kinds of services,
10 what will the intent be.

11 DR. ROBERTS: Could I make a
12 suggestion? We, I think, have had a really
13 interesting hour and a half discussion. I think
14 this would be a good point to take a break.
15 Let's take fifteen minutes and then let's come
16 back and pick up with the points that Harvey and
17 Eric and other people were raising about the
18 notion of early intervention, more generally
19 decoupled from testing. And let's also try to
20 focus more about where we are compared to some of
21 these ideals, the argument that Alvin was making
22 to us earlier about how actual testing had been
23 done in ways that was quite different from ideal
24 testing.

1 I would like us to focus more on this gap
2 between what is desirable and what's actually out
3 there. Let's take fifteen minutes at this point.

4 (Recessed at 3:35 p.m.)

5 (Resumed at 4:00 p.m.)

6 DR. ROBERTS: A couple of
7 procedural matters before too many people drift
8 away, as tends to be the case in all such
9 meetings. First of all, if you were confused
10 about where we were today because you thought we
11 were supposed to be across the street and we
12 weren't, we are in fact going to be across the
13 street tomorrow. The meetings tomorrow are not
14 in this room.

15 MS. BYRNES: We are in the Essex
16 Ballroom, which is right up on the second floor
17 there in the Westin Hotel.

18 DR. ROBERTS: The second thing is
19 in terms of schedule, the schedule you all have
20 actually indicates 4:45 to 5:15 wrap-up. The
21 Chairman tells me that that was initially
22 suggested as a time when the Commission members
23 would talk primarily with each other. Rather
24 than do that today, they propose to do that

1 tomorrow.

2 So what we are going to do now, we will
3 run for an hour, an hour and ten minutes and then
4 we'll just end. So that we will leave around
5 five, plus or minus, depending upon the
6 temperature and heat of the discussion.

7 Now, I heard a bunch of comments during
8 the break, which was in part, I heard several
9 people saying, number one, they thought some
10 people were pulling punches. I just offer this
11 to all of you, that some, particularly those of
12 you who think your opinions may be unpopular, are
13 not necessarily saying what's in your heart of
14 hearts. So those of you who feel you have
15 unpopular opinions, you might want to take that
16 into account. Some of your colleagues are
17 disappointed that those of you with unpopular
18 opinions have not been more provocative.

19 The second think I heard was that it is
20 hard to develop very quickly the degree of group
21 process commitment that allows us to push the
22 conversation forward, but there is, I think, some
23 feeling that it would be useful to be more
24 specific. And I may try to be a little more

1 directive in pushing you to be more specific for
2 the next hour and fifteen minutes because that
3 may be what's of most help to the working group.

4 Now, we said we were going to start at
5 this point really, I wanted to start with Harvey
6 Makadon's issue, which I took to be granting the
7 correctness of Rona's point that a lot of groups
8 that are at high risk for HIV infection are
9 disconnected to the care system. And that,
10 therefore, part of the task is how do we connect
11 those people to the care system and/or how do we
12 expand the care system so that there is a care
13 system for them to be connected to.

14 And I wanted to push Harvey on the issue
15 he raised. He said we ought to talk more about
16 informing communities about the possibility of
17 early intervention so that their pressure for the
18 availability of early intervention services would
19 be a lever for help dealing with the inadequacy
20 in the availability of services.

21 Like what in particular did you have in
22 mind, Harvey?

23 DR. MAKADON: I think that in
24 certain communities which got organized very

1 early on in the AIDS epidemic, there were a lot
2 of changes and responsiveness in the public
3 sector to people identifying problems in the
4 health care system and insisting that certain
5 changes be made. I think that could probably
6 happen on a larger scale. It would clearly be
7 great if we could sit here and make a bunch of
8 recommendations on how to modify primary health
9 system to respond to the needs of the people with
10 HIV infection as another strategy. But when you
11 look at the enormous problems in primary health
12 services delivery, particularly in minority
13 communities, I'm just not very hopeful that just
14 pursuing that strategy is going to receive --

15 DR. ROBERTS: Therefore, what
16 should we do?

17 DR. MAKADON: I don't think, I
18 think we should look at how we inform people
19 about early intervention.

20 DR. ROBERTS: Harvey, I'm going to
21 do it to you, since we're old friends, I can do
22 to you what I might not do --

23 DR. MAKADON: I'm not an expert in
24 public education.

1 DR. ROBERTS: But you said, we
2 know, let's try to move away from some
3 euphemisms, some communities, other communities.

4 DR. MAKADON: I think, for example
5 --

6 DR. ROBERTS: The gay community is
7 very highly organized around this issue. If the
8 question is how do we get other groups
9 equivalently mobilized, what do you think the
10 answer is? Or those of us who are not part of
11 these communities, as Alvin said, and, Wayne, I'm
12 going to ask you this issue in a minute, and then
13 Marie, because it may be that you and I are the
14 wrong people to talk about this.

15 DR. MAKADON: I am a physician, and
16 I kind of think that there are a lot of
17 physicians working in neighborhood health centers
18 in Boston whom we have met with recently who are
19 very interested in doing more work with people
20 coming to the neighborhood health centers around
21 care of people with the HIV infection. I think
22 dealing with that, providing them the resources,
23 would be one strategy that would begin to get to
24 some segment of the community, recognizing that

1 that would be a group that already was in some
2 way connected with the health care system.

3 I must also say that a lot of the medical
4 and nursing people whom we meet with in
5 neighborhood health centers say they are
6 administrators who are not particularly
7 enthralled getting overly involved with people
8 with AIDS because of their reimbursements from
9 the state. So that creates a dynamic tension
10 between providers who are far more interested
11 than I think there is a general sense of in
12 caring for people with AIDS and the
13 administrative people who are worried about the
14 fiscal viability of somewhat fragile neighborhood
15 health centers. So I think that's something to
16 deal with.

17 I think there is an ongoing issue with
18 respect to education of the providers and
19 education of the administrators, which I think
20 both have to happen at the same time. In terms
21 of getting to people who aren't disenfranchised
22 enough to come to a health center, I don't think
23 I'm in a position to speak to that, but it's
24 something we need to begin to do.

1 DR. ROBERTS: Marie, what's your
2 sense about how we take your advice about the
3 alternative ways of connecting with community
4 institutions as a source of mobilizing community
5 concern?

6 MS. ST. CYR: I think there is a
7 need for closer collaboration with the medical
8 agencies in the community program, whether we
9 call them community service providers or
10 community-based organizations. The collaboration
11 at this point is not there. We talk of one plan
12 in the communities and then another plan.

13 One of the ways we can really clearly see
14 that that information that is coming out in terms
15 of therapies, it's not processed through the
16 community. There is an assumption that as we
17 talk and as we make announcements and as we use
18 the media, that there is a logical deduction that
19 occurs, that people screen out the information
20 and pick out what is available and what is
21 applicable to them. That is not really
22 occurring. And I think that an active
23 collaboration to bridge the gap between these two
24 factors is extremely important.

1 I think clearly that it's happening in
2 some levels, but if we're talking about
3 prevention using existing community projects or
4 existing community institutions, we have to
5 activate that.

6 I think --

7 DR. ROBERTS: Can I interrupt you?
8 What is your sense about what the barrier is to
9 collaboration between the medical providers and
10 the community-based organizations? Why isn't
11 that collaboration occurring?

12 MS. ST. CYR: I think there is an
13 issue of credibility and an issue of trust. In
14 many instances there is not the sense that the
15 people are doing the community work are credible
16 or they are as professional. And I think there
17 are issues of whether they trust one another.

18 I think the collaboration has to move
19 where we trust these people to access the
20 community residents and to access them in ways
21 that the information is given, that the menace or
22 the threat is removed in terms of HIV and its
23 implication. And we are not there yet. HIV
24 information is a threatening information.

1 I'm really upset in a way when I hear that
2 we're talking about HIV and hypertension, and HIV
3 and mammography. That upsets me.

4 DR. ROBERTS: Why is that?

5 MS. ST. CYR: It upsets me because
6 when I deal with a family in which three siblings
7 out of eight are HIV positive, after working
8 through this family as a family, as a family
9 group, and looking at what factors impact on
10 these families' lives, and understanding also
11 that hypertension when it's looked at, it's not a
12 killer disease. Hypertension, the impact of
13 being hypertensive and the impact of HIV positive
14 is quite distant. So I get very flustered, I
15 guess, when I hear that.

16 But just to go back to the collaborative
17 efforts, a family, for example, just to take the
18 same ideal situation, is one in which we have to
19 infuse information acknowledging, for example,
20 that a woman is primarily an educator in the
21 family, and acknowledging that giving this person
22 information that is clearly specific, not only to
23 herself but to other persons whose life she
24 impacts, is of importance. And I will take the

1 example of these people where there are three
2 members of the family who are positive, and
3 believe me, this is not the only example in New
4 York City, it starts when one woman walks in a
5 community-based organization like ours and starts
6 talking about her problems and concerns, and then
7 we try to identify what family relationship
8 exists for this woman who is currently
9 asymptomatic. Then you move from there to work
10 with the other families because she has a certain
11 impact in her family setting.

12 Moving from there we work to provide a
13 counselor to go to that home and sit with the
14 whole family, who lives pretty much in a joint
15 site. Or they invite other cousins or other
16 members, and as we talk among them, three of them
17 decide to get tested. Among them, two of them
18 are positive. And so the work continues with
19 that family.

20 I'm looking at that family as a unit of
21 that whole community that is not only poor, on
22 the immediate family, but others with whom they
23 are concerned. There are teenagers in the
24 community that are faced with crack every day in

1 the street, in schoolyards where crack is being
2 sold. Those are risk factors that we need to
3 consider.

4 When we talk about HIV transmission, we
5 talk about sexual transmission and we talk about
6 IV drug use transmission, people don't
7 necessarily make the logical deduction that if I
8 go out and get drunk and I end up with someone
9 overnight somewhere and get up Sunday morning and
10 I don't know exactly where I am, I may have in
11 fact exposed myself to someone who is HIV
12 positive. This deduction really has to happen in
13 forums where people feel comfortable to talk.
14 And that is what is happening to an extent.

15 CHAIRMAN ALLEN: In what places can
16 that take place? In what institutions? Where
17 are the points that these, the families can be
18 accessed in their community?

19 MS. ST. CYR: We work with the
20 churches. We work with people that are
21 identified in leadership positions in the
22 communities, to start to bring, for example, a
23 staff to that 15 or 20 or 50 people that are
24 carriers, and then we provide them access to us

1 anonymously as well as confidentially.

2 CHAIRMAN ALLEN: What is the
3 response of the institutions that you attempt to
4 access?

5 MS. ST. CYR: Currently it is
6 good. If we look back at history, the response
7 was not good. But the response has increased
8 tremendously.

9 DR. ROBERTS: Wayne, I said I was
10 going to ask you to comment about this. You've
11 been very quiet so far today. Do you have some
12 thoughts you would like to share with us on this
13 problem about accessing communities that have not
14 been connected?

15 MR. WRIGHT: Well, yes. I guess I
16 have a lot of things I want to say. I heard
17 earlier that the issue of connectedness, to the
18 fact that people need to be connected to
19 systems. But I think that, as one who is
20 representing communities of color and often times
21 people who are poor and disenfranchised, I think
22 that if you are assuming that in being connected
23 to those systems in our communities, that those
24 are whole systems, that they are systems that are

1 well and strong and viable, they are not.

2 I will tell you that this whole issue of
3 AIDS and the discussion here, I think it's very
4 important. But the people who walk into my doors
5 off of the street are not interested in these
6 issues, as to whether they can be tested or where
7 they are going to be tested and who is going to
8 be tested. The people I am working with are
9 people who will say it is not just an issue of
10 being accessed to services, but when I get there
11 -- I mean, there are folks who will tell me that
12 I will not go to the organization that you are
13 trying to link me up to because even though I may
14 get the service, I don't feel welcome.

15 And so it's not just an issue of safe
16 environments, but it's how do you set up an
17 environment that makes people feel welcome.

18 I, often times, provide technical
19 assistance to organizations, in terms of outreach
20 and access. And I can say to them I know how to
21 get additional people from my communities at your
22 meetings and in your organizations but I can't
23 tell you how to keep them there. So if the
24 Commission is at all concerned about one of those

1 issues that nobody is really talking about that
2 perhaps someone should be --

3 (Discussion continued off
4 the record while court reporter's tape was
5 changed).

6 MR. WRIGHT: They said the same
7 thing about teenage parenting, same things about
8 substance abuse. Now, suddenly, the buzz word is
9 AIDS, and everybody in my community is suddenly
10 supposed to be aflutter, and it's not happening.
11 People say to me, I don't give a damn about AIDS;
12 I don't give a damn about infecting anyone, and I
13 don't want to change my behavior because the
14 systems that you're now talking about putting
15 into place have never existed for me. And if you
16 think that suddenly I'm supposed to believe and
17 trust that they are going to fall into place just
18 because now the buzz issue is AIDS, forget it.

19 DR. ROBERTS: I asked people to be
20 a little franker. Bob?

21 MR. WHITE: I would like to echo
22 the same sentiments that Wayne had in that we in
23 Philadelphia go to them. We don't ask them to
24 come to us because we know they will not use the

1 facilities that are provided by the city or the
2 state; that we have to go and win their trust.
3 We go to the shooting galleries, we go to crack
4 houses, we go to the housing projects. We have
5 workshops for gay and bisexual men, lesbian
6 women. We go where they are to let them know
7 that we care. We are investing in our community,
8 so we don't mind doing that.

9 I hear you say that you can't get them to
10 hear you. I've heard that twice today. I
11 suspect that each one of you that has said that
12 knows someone who can't, but you won't ask them.
13 You will not use them, for whatever reason, I
14 choose not to suggest why you don't. But they
15 can do it, if you will allow them to. If you are
16 really concerned about the problem, you ask us
17 what can you do. We tell you what we've done,
18 how it has worked, and you suggested this on an
19 isolated incident, a unique experience, as
20 opposed to trying to utilize it and to see if it
21 will work.

22 We have done everything that you're
23 talking about from counseling and testing,
24 intermediate care, or the HIV positive,

1 asymptomatic, case management for the PWA,
2 support groups. And if they tell us that they
3 can't come on the subway, we will find a facility
4 close to them, in the housing project where they
5 can have their support group. We do not mind
6 doing that.

7 You talk as if they must come to the
8 system that you have designed as you have
9 designed it. They are the ones with the
10 problem. They are the ones who need the help.

11 So if you're asking someone who is
12 crippled to walk to the hospital, what purpose

13 --

14 DR. ROBERTS: So I hear some
15 agreement among our last three contributors. To
16 summarize Bob, you cannot ask people to use the
17 system as it is. I mean, I think part of this is
18 an answer, the beginning of an answer to the
19 question Scott raised. Part of the answer we
20 hear is culturally responsive institutions,
21 institutions that express certain kinds of
22 community control, institutions that make people
23 feel welcome.

24 I mean, that's part of what I'm hearing;

1 that there is a really -- and this goes back,
2 indeed, to something Alvin said to us when we
3 started out, about how do we make people feel in
4 what is, after all, a very difficult encounter,
5 because it deals with sexual identity and drug
6 use, that they can trust.

7 Marie, did you want to say something?

8 MS. ST. CYR: I want to support
9 what Bob is saying by stating that the mentality
10 of people walking into a clinic and walking into
11 a setting of care, particularly in communities
12 where there is lack of that and poor quality of
13 that service, is to receive treatment and leave.
14 The mentality is not associated with support and
15 prevention and taking care of yourselves. I
16 don't find that to be the mentality.

17 So we are trying to infuse a lot of
18 focused education and prevention in the setting
19 when peoples' mind doesn't seem to be there. And
20 I think it's counterproductive.

21 MS. AFFOUMADO: Don't you think it
22 all has to do with the fact there has been such a
23 lack of sensitive quality, not judgmental health
24 care, in so many communities that people don't

1 have a history of knowing what real health care
2 is? And so part of what we have to do is teach
3 people how to be consumers of health care.

4 It goes back to maybe what Harvey is
5 talking about in terms of getting communities to
6 sort of say, well, this is here, and this is what
7 I want at the same time because we don't, the
8 reality is that the models of care for many years
9 have been the emergency room for many of these
10 operations in many of these communities.

11 MS. ST. CYR: I would agree to say
12 that the statement I made, I did not preface it
13 with the justification of why it occurs.

14 MR. DALTON: It seems to me that
15 you're asking people to take on the task of
16 performing the system that wasn't of their
17 creation.

18 MS. AFFOUMADO: I don't think it's
19 taking on the system so much as teaching people
20 about themselves and how -- I don't mean
21 themselves so much. Clearly what I'm talking
22 about, I come out of the Sixties model of health
23 care where we went into communities, we started
24 trying to educate people in terms of how to fight

1 for welfare rights and health care rights and all
2 of those things. We weren't always successful.
3 Many of us shouldn't have been in the communities
4 we went into.

5 But I think one of the things that's
6 happened in AIDS is this whole idea of
7 empowerment back on the table. You can't empower
8 people if you don't know what you're empowering
9 them for.

10 MR. DALTON: Your statement about
11 understanding themselves. Wayne and Bob are
12 sitting here because they made the calculation
13 that there wasn't going to be much gained by
14 saying what was in their hearts. In the course,
15 but to say that those people then need to
16 somehow, and this is a problem, I love the idea
17 of organization and empowerment, though I have
18 problem -- but in any event, there is an
19 assumption there that people have the time.

20 MS. AFFOUMADO: I have to respond
21 to you because we are now in New York City
22 through community services, we have put together
23 a coalition of three communities, black,
24 Hispanic, other types of communities in the

1 city. There is a group in Manhattan which has
2 been fighting Colonial Presbyterian Hospital.
3 They are people from the community who have
4 decided to take it upon themselves to learn what
5 they can do to change the health care for their
6 neighborhoods. They go to public meetings.

7 I think it's unfair to say that just
8 because there are all these other issues, and I
9 don't disagree with you, I think drugs is
10 horrible, and all of these things, that we can't
11 get people motivated in some ways if we make it
12 comfortable for them to do it.

13 MR. DALTON: What about people who
14 have concluded there is nothing?

15 MS. AFFOUMADO: They see there are
16 successes that have been made that are small.
17 You always start small.

18 DR. ROBERTS: Harion, can I put it
19 back to you? Are you suggesting, because I want
20 to highlight, there is this very complicated
21 issue of who is we and who is they and who is
22 educating whom, and who is empowering whom. It's
23 a very complicated issue. What is your positive
24 response to Harvey's question, or is this

1 essentially a matter, to be blunt, that those of
2 us who are not people of color really have to
3 stay out of this and wait?

4 What is the contribution of people outside
5 the community to this issue? Is it to provide
6 money and get out of your way?

7 MR. DALTON: That is one answer.
8 And if we're talking about New York City where
9 the AIDS patient gets \$66,000, that's not very
10 much money. I think that is a respectable
11 strategy. But what concerns me even more is the
12 sense, and again this is picking on you, that
13 somehow you need to go in and teach people what
14 their interests are and what they can do to alter
15 the system as if people haven't given a lot of
16 thought to that.

17 That is, it seems to me that is probably
18 not an incorrect conclusion for you to reach that
19 they cannot in their lifetimes do very much about
20 the problems that the people in this room have
21 not been successful at in their lifetimes.

22 I guess what I'm saying is that there is
23 some insult in the suggestion somewhat that if
24 they were more sophisticated or if they had more

1 by the people that could be provided for them by
2 the people in this room that they would be able
3 to move a system. So I don't know that I have a
4 positive answer to Harvey in the sense that
5 you're asking me for, in part. In fact, I'm not
6 of the strong position that it's only the black
7 community, meaning blacks. But I do think that
8 the notion that people come in to them is an
9 important one.

10 MR. WHITE: One of the things that
11 I wanted to respond to your comment about
12 bringing the money, that's not what I meant to
13 suggest. What I was saying -- because if you
14 think about it, most of the blacks in this room
15 are educated at white institutions. So we learn
16 the same thing. We just are better able to
17 translate and give it back to them. We are only
18 trying to say what you're saying. But, see, if I
19 walk through the community, the way I walk, the
20 way I talk, they will hear me. They don't have
21 to get past a lot of stuff because I
22 intentionally, I guess, kept a lot of what is
23 mine. So they will hear that.

24 You come, you have to do a lot of things,

1 which is wasting more money, it's wasting time,
2 which we don't have any of. So why don't we work
3 together, if you ask me, try to do some of what I
4 suggest, if you want to work with me, work with
5 me and not have me working, not work against me.
6 Work with me to solve the problem. Let us bring
7 everybody who is willing to come or who wants to
8 come or who can come to the level that they can
9 make the decision as to whether they want to be
10 tested, have the facility there for them so that
11 they can make their own decision. They will make
12 it. They have made it, and they are making it.

13 DR. ROBERTS: And what, in terms of
14 what Scott said he wanted to push us on this
15 afternoon, you say have the facility there. From
16 your point of view, what does an appropriate
17 facility look like?

18 MR. WHITE: From my point of view?
19 Okay. I'll use what we have as an example,
20 roughly. We are open from 6:00 a.m., available
21 from 6:00 a.m. to 8:00 p.m. for anyone who wants
22 to come in to be counseled, to call for
23 information, and/or test. We do pre-counseling
24 individually, even when we go to the shelters.

1 Before we will agree to go into a shelter, they
2 have to provide a room where individual
3 counseling can be done. Then the provider does
4 what she does.

5 Then we do whatever is necessary of the
6 counseling because sometimes we have to counsel
7 in between while they're waiting for their
8 results, which is only three to five days, but we
9 provide that. Then we do post-counseling. If
10 they are positive and asymptomatic, they can see
11 that same counselor up to three other times
12 before he's transferred to a counselor who will
13 stay with them until either he decides he doesn't
14 want to be involved anymore or he becomes
15 symptomatic.

16 When he becomes symptomatic, he moves to a
17 senior case manager who then handles all of the
18 matters that senior case managers deal with
19 regarding people who need their services.

20 We have support groups. We have an
21 adolescent support group, female support group,
22 gay/bisexual support group, and we have a
23 heterogeneous group for anyone who wants to meet
24 and relate the issues.

1 We, of course, also do medical referrals,
2 housing; case managers and counselors do that.
3 And it was not designed that way. It was not
4 funded to do all of those services, but because
5 the staff cares, we extend ourselves. We do it
6 with the same amount of money that was given for
7 a 40-hour test site. We extend ourselves because
8 it's our community. We have no problems with
9 that. Almost all of us took a cut in pay to come
10 to work for the project, but we wanted to do
11 that. That is for us. There's nothing wrong
12 with that.

13 MS. BYRNES: Where do you make your
14 medical referrals to?

15 MR. WHITE: You want to know the
16 hospitals? We have several things. We either
17 make them to hospitals, we have individual
18 physicians who work along with us. In fact, I'm
19 in the process of pulling together a residential,
20 a medical group residence with Harmon Hospital
21 for psychiatric assistance.

22 So we draw from the whole community. We
23 don't restrict anyone. But we design and we tell
24 them what we need and ask them to design their

1 knowledge, their program to assist us.

2 DR. ROBERTS: What I hear Bob
3 saying is three things that I want to flag for us
4 because it moves in the direction of being
5 specific. And I've also heard it from other
6 people.

7 First of all, cultural sensitivity and,
8 perhaps, cultural commonality between the
9 providers and the client group. I think it's
10 very interesting because in some ways I think
11 we're seeing in the minority community the
12 playing out of some dynamics we saw in the gay
13 community early on. The gay community wanted to
14 be able to deal with people who were culturally
15 sensitive and responsive to them. And the
16 minority community wants to deal with people that
17 are culturally responsive to them.

18 The second thing I heard you say was
19 continuity of the relationship between your
20 organization and the clients; that once they got
21 to a counselor, if they stayed with that
22 counselor while they were asymptomatic, when they
23 became symptomatic, they moved to case
24 management. The similarity is continuity.

1 The third thing was integration of medical
2 and social services; that is, the case manager
3 had accepted, perhaps in a way that was not
4 funded, a responsibility for managing a broad
5 spectrum of responsibilities to the client.

6 MS. DIAZ: I have a couple of
7 concerns. Who funds all of that?

8 MR. WHITE: The state, federal,
9 city, and we do. What I'm saying is that our
10 basic grant only covered 40 hours, but we give
11 more. And most of the time we don't even write
12 any comp time. We just give it because it's us
13 and we want to.

14 MS. DIAZ: My second concern is I
15 heard the word what should a facility look like.
16 I really would like to, the word facility bothers
17 me because I think we're really talking about
18 what kind of structure, different program needs
19 would take in serving a community. And more the
20 facilitation of those structures rather than
21 thinking of facilities, a facility as a physical
22 structure.

23 And the last comment that I had was that
24 from what I've heard the last half hour, we

1 really are talking about specific challenges to
2 various layers of community. When I hear Harlon
3 say how we're really going to mobilize people to
4 fight for getting more access, getting more
5 services delivered in the community when these
6 individuals may not be in a position to do so, I
7 can really understand that coming from the
8 communities that I've worked with for the last
9 eight years in HIV mobilization. But I really
10 think there is a place for that, Harlon, within
11 the people that are looked upon as credible or
12 community leaders.

13 And the structure that Marie is suggesting
14 here of working with institutions that are there
15 may be our only way of reaching large numbers of
16 people, not only with testing and counseling
17 messages, but HIV prevention. And I've stated
18 numerous times that I really believe that through
19 minority community organization and particularly
20 mobilization of churches, we are going to be able
21 to do a whole lot more in terms of bringing more
22 people into this fight. Without using those
23 established systems, it just becomes a mind
24 boggling experience to think where do we have a

1 crack at mobilizing large numbers of people
2 around this issue.

3 What I've heard today really bothers me in
4 terms of the repeated use of the word treatment
5 and early intervention because I think we're
6 still talking very much in a medical model. I
7 submit to you that within the communities I've
8 worked with, the model that probably would have a
9 greater chance at developing the opportunities
10 for dissemination of widespread education,
11 prevention, options, offering people and
12 communities options for how they should go in
13 this testing issue, would be done much more
14 aggressively and better through a social model.

15 DR. ROBERTS: Could you say what
16 you mean by a social model?

17 MS. DIAZ: She described it for
18 you. Taking in the work and establishing a good
19 relationship with community-based organizations.
20 Why couldn't there be a message of early
21 intervention and prevention broad based through
22 community organizations, or through churches, or
23 through Head Start groups, continuing that,
24 rather than saying we're looking at treatment,

1 associating the testing with treatment.

2 After all, we've got to look at the fact
3 that many people in minority communities are not
4 going to either accept medical treatment, even if
5 you said, look, this is what is available; that
6 if in fact you get to a health facility or health
7 care facility you may be able to have such a
8 drug. They are not going to take advantage of
9 that and absolutely decide to do things that are
10 self-empowering or what that culture has
11 determined are good ways to handle illness. Some
12 of these may be natural remedies, better
13 nutrition, lack of certain stressors or reducing
14 the stressors that are facing us.

15 I see some of you agreeing with this. But
16 I'm just telling you that for us to try to impose
17 a medical model on the way that certain
18 communities ought to act may not be in our best
19 interests, or even an understanding of how those
20 communities deal with a perceived threat of
21 illness.

22 DR. ROBERTS: We'll come back
23 around, but what I hear Eunice saying is that if
24 we are going to be culturally sensitive, part of

1 that cultural sensitivity involves the extent to
2 which we medicalize versus non-medicalize.
3 Harvey is not here, but I was interested that
4 when you asked the doctor, how do you outreach,
5 the first thing he talked about was other
6 doctors. I hear you saying, well, there's really
7 other ways to think about this.

8 MR. SANCHEZ: I'm really glad you
9 said that, Eunice. You covered a lot of ground
10 there for me. I think that the discussion has
11 really come close now to what the heart and what
12 the real problems exist in terms of health care
13 and HIV infection. And I think Wayne also from
14 bringing up the racism because a lot of it is the
15 pre-existing prejudices that were, in effect,
16 since day one.

17 Now, in the work that I do, what I
18 encounter a lot is classism that comes up daily
19 in terms of the stigma and discrimination,
20 AIDS-related discrimination.

21 What I would urge --

22 DR. ROBERTS: Could I ask you to
23 say a little bit more about that? You say you
24 encounter classism daily.

1 MR. SANCHEZ: I'll give you a quick
2 example. I did an advocacy whereby these two
3 guys went into a fast food restaurant, ordered
4 burgers and fries and a soda, sat down and
5 started talking about AZT and Compound Q. These
6 are two guys that are involved in Veterans
7 Hospital and Beth Israel, so they are involved in
8 methadone as well. So they come back two days
9 later and they sit down, they come in and order,
10 and nobody would serve them. Everybody is
11 backing up off of them, would not serve them.

12 As they are leaving the restaurant, an
13 employee that is cleaning the tables tells them,
14 hey, they wouldn't serve you because they heard
15 you talking about AIDS.

16 I spoke with the manager, I did a training
17 for the employees of restaurant, and next week
18 I'm doing a training for 90 managers of this
19 particular chain, part of the systemic work that
20 they are trying to do. But in my opinion, if it
21 had been two doctors sitting down at that table
22 talking about AZT, they would have been served,
23 you see.

24 When I went back and spoke with the

1 manager, part of what she said, well, you know,
2 they're on methadone, they come in here and
3 they'll buy soda and stay a half an hour. If
4 they went to the Waldorf, they wouldn't serve
5 them there, either. So this is a classic
6 classism example.

7 But I'm going to speak very briefly and
8 quickly. In terms of the Commission, I would
9 urge the Commission to, in any implementation of
10 policies that they take into consideration all
11 aspects, not just the medical and the -- not just
12 the medical status or the treatment aspects, but
13 also the social aspects of policies because there
14 are many policies that are in place, in
15 hospitals, in government, in industries, that are
16 in good faith but are discriminatory. They have
17 discriminatory traits inherent within the
18 policy.

19 You raised something about facilities, and
20 I'm just going to use the word because of lack of
21 a better word. But in terms of a recommendation,
22 what I would like to see in communities is a
23 comprehensive health care facility whereby a
24 family can be treated. The family can come in,

1 they can be tested, and they can be treated,
2 counseled, safer sex practices, safer IVDU, be
3 treated for whatever opportunistic infection they
4 have, not go to the east side for one treatment
5 and go to the west side for the other and get the
6 run-around around town in terms of dealing with
7 their HIV infection.

8 MR. LEVI: Before you leave that,
9 could I ask you one question of clarification?
10 Do you think that integrated care for the family
11 should be part of a separate HIV systems?

12 MR. SANCHEZ: No. That contributes
13 to stigma. Nothing should be separate; it should
14 all be together. And I reject the idea of
15 housing for PWAs.

16 MR. LEVI: I just wanted that to be
17 clear.

18 MR. SANCHEZ: I think we should try
19 to engage the private sector. A lot of times we
20 think in terms of government and getting more
21 money from government. The reality is that
22 government is limited, and the groups of people,
23 the population that HIV infection is affecting
24 are not favorable groups of people for those that

1 are in power, in terms of the government. It's
2 predominantly the gay population, the intravenous
3 drug user.

4 So what I would suggest is an approach
5 where we reach out to the public sector and get
6 them more involved in terms of education, and the
7 idea behind it would be that it would be cost-
8 saving because employees are getting sick all
9 over the place. It's costing money for the
10 employer, their health insurance premiums and
11 rates are going up. So it's on that idea which
12 is a realistic thing. And the private sector,
13 there is a lot of money that can be gathered from
14 there, needs to be thought out more, but I'm just
15 suggesting that.

16 In terms of the news media, I think that
17 they can play a more responsible role. I know
18 that in New York City, I'm sick of getting on the
19 subways and looking at these ads that still talk
20 about "the AIDS virus", and "the AIDS test". I
21 think we really need to address that, also,
22 because it also reinforces the misinformation
23 that people already have, and they are already
24 confused enough. We see more and more of this on

1 printed ads and television.

2 And one last thing I'm going to say is
3 regarding prisons because I'm an ex-offender and
4 I've been through the criminal justice system of
5 New York State. I've been out of the system now
6 for ten years. I go into three correctional
7 facilities on a monthly basis, state facilities.
8 And when we talk about testing out here, routine
9 testing or the idea of a massive screening, that
10 translates into mandatory testing in prison.

11 And I speak routinely, I go to a female
12 facility and two male facilities. And there are
13 people who are being tested and not told their
14 test results, people being tested within
15 correctional facilities in New York State without
16 pre- or post-test counseling. And the lack of
17 education and understanding that they have
18 regarding HIV infection is minimal, at best.

19 So that when we talk about targetting a
20 particular group of people, let's think in terms
21 of that group who are coming back into our
22 communities, who are in a confined situation
23 where education can be offered. And you look at
24 60,000 people who are incarcerated in New York

1 State, and 60 or 70 percent of them have
2 histories of drug use.

3 DR. ROBERTS: Before we push on
4 that last point, I want to go back to the point
5 that Jeff raised. If we're talking about models
6 of systems and so on, this question about the
7 extent, and Harvey raised it earlier, the extent
8 to which care for people with HIV infection ought
9 to be integrated into the rest of the medical
10 care system versus separated. And the reason why
11 I want to re-raise this question with you is that
12 there are at least some situations and
13 circumstances in which people with HIV infection
14 have been treated better than people with other
15 sorts of medical conditions by the medical care
16 or social service system.

17 There are examples in New York City where
18 it's easier to get housing if you're HIV infected
19 than if you're not.

20 MR. SANCHEZ: That is absolutely
21 wrong.

22 MS. AFFOUMADO: He is absolutely
23 right. What are you talking about?

24 MR. SANCHEZ: In New York City, in

1 order to get housing you have to have an HIV
2 diagnosis.

3 MS. AFFOUMADO: I've got people in
4 housing with HIV --

5 MR. SANCHEZ: Homeless?

6 MS. AFFOUMADO: Yes.

7 MR. SANCHEZ: You and I need to
8 talk then.

9 DR. ROBERTS: All I'm trying to ask
10 you is one of the difficult problems of, I'm not
11 taking a position, one of the problems of an
12 integrated system is that, going back to where
13 Rona started us, then if you're going to improve
14 the care for people with HIV infection, including
15 those who have progressed to AIDS, you are going
16 to have to improve the system in a sense for
17 everybody; whereas, if you don't have an
18 integrated system, you have in that sense less of
19 a burden. And I'm just wondering what you feel
20 about what seems to me a difficult question.

21 Do you still think that an integrated
22 system is the only sensible way to go?

23 MR. SANCHEZ: I think it's better
24 than what we have now.

1 DR. SMITH: I think there are
2 models of care that can be used of chronic
3 disease. I would cite the hemophilia model in
4 which the patients which we actually considered
5 our friends have been coming to the hospital all
6 the time, and through the treatment for fairly
7 complicated diseases, by treating themselves have
8 been able to go out and provide about 90 percent
9 of their own care. I think that such a model can
10 be applicable to the HIV situation.

11 DR. ROBERTS: In what way, Peter?

12 DR. SMITH: Also, it's a
13 non-medical model. There are big differences,
14 obviously, but it can be used.

15 The other thing that I would like to
16 emphasize to the Commission is the fact that
17 there is at the present time pretty much a
18 dichotomy between diagnosis, prevention,
19 education and treatment. And that should not
20 exist. There should be a pretty easy step
21 between the diagnosis and the education to the
22 next step, which would be treatment, even though
23 we don't have the ideal treatment now.

24 I think that although I agree with Marie

1 and with Romeo that the system needs to be more
2 user-friendly, it's very hard to force people to
3 be friendly. But there are things that you can
4 do. You can tie federal dollars, you can tie the
5 grant's amount, the fact that there is an active
6 outreach program, any sort of a clinical trial,
7 that some dollars are certainly contingent upon
8 an active outreach effort. I mention that
9 specifically because I, myself, am very sensitive
10 to the fact that I live down in Providence, Rhode
11 Island, which is only an hour away from here, and
12 I cannot get my kids with AIDS on clinical trials
13 that are approved right here. I have been
14 working since August to get my kids enrolled in
15 trials. They are like the cutting edge. They
16 are like cancer therapy. They are the best
17 around.

18 So you have to use secondary mechanisms,
19 like INDs. So I think the government can put a
20 better effort by putting organizations together
21 that do some of these clinical trials, and some
22 of the service projects, so they link up
23 together, talk to each other and are more
24 responsive.

1 DR. ROBERTS: Can I go back to the
2 hemophilia example because I think it's clearer
3 to you than it is to some of the other people in
4 the room what you're referring to when you
5 produced that example. You said these people
6 come to the hospital, and then you said this is a
7 social, not a medical model. I tend to think of
8 hospital-based services as medical not social
9 models.

10 Perhaps you could say a little bit more
11 about what you have in mind.

12 DR. SMITH: Let me clarify my
13 point. It is a hospital system only inasmuch as
14 most patients come to the hospital once a year,
15 perhaps two, three times, depending upon their
16 medical problems, which often are many,
17 particularly now with HIV infections. But a very
18 significant part of their treatment is
19 self-administered, and it's complicated
20 treatment. These people have to give themselves
21 an intravenous injection. They have to know
22 everything about sterilization and everything.
23 It's a fairly complicated system.

24 We are doing that with all levels of

1 patients. We are trusting them with the care
2 that they provide for themselves. So I think in
3 that sense it's much more of a community-based
4 model than it is a medical model.

5 DR. ROBERTS: When people talk
6 about community-based programs, they often don't
7 mean just that the people live in the community.

8 DR. SMITH: Home-based.

9 DR. ROBERTS: Denman, I said you
10 could speak.

11 DR. SCOTT: I would like to make a
12 couple of comments. First, concerning the
13 medical versus social model, I don't think it's
14 an either/or situation. It is really both, and
15 and when you use either, and the route to whether
16 you want social support, psychosocial services,
17 working through a variety of community-based
18 organizations, or you're working in medical care,
19 through a good case management system.

20 As I listen to the comments around the
21 room, I get the sense that there has been no
22 progress whatsoever in the years that we have
23 been working on the epidemic. And it sounded
24 very discouraging to me if I were a Commission

1 member and hadn't been on the front lines at
2 all. I think, in fact, there has been enormous
3 progress made in various ways around the
4 country. That doesn't mean there's still not a
5 long road to travel. There has been progress,
6 certainly in our state, on any number of issues.
7 We are moving towards a very comprehensive set of
8 services. The skill level in any number of
9 different social and medical systems has been
10 amplified many fold over the past several years.
11 And all the people we identify as positive from
12 asymptomatic through symptomatic, it appears, as
13 we study this, are integrated into a set of
14 social and medical services.

15 What I think you need to do as Commission
16 members is, if you haven't already, go out and
17 look in very great detail in various parts of the
18 country about what's working, what's not working,
19 what kind of testing programs are in place, and
20 not just listen to people talk to you because
21 you're never going to get a totally accurate
22 portrayal through this kind of commentary, in my
23 judgment. I have a lot of stuff I could tell you
24 about our testing, our case management, our

1 prison program.

2 You mentioned the prison program in New
3 York. We had a prison program in Rhode Island
4 which was launched in the prison. It is run with
5 the Department of Health and Brown University
6 School of Medicine. I think it's one of the
7 first programs in the nation which actually is
8 integrated into a residency and fellowship
9 training program. Everybody in that prison is
10 counseled. There is a mandatory testing program
11 by law, but that program couldn't go forward
12 until the counseling was in place, the medical
13 care was in place, and the aftercare referral
14 systems were in place to take care of those who
15 were positive.

16 That is in place and it's working. It's
17 not perfect. No social or medical system is
18 perfect, but, by God, we've made a lot of
19 progress.

20 CHAIRMAN ALLEN: I might say
21 something about the Commission. We are traveling
22 around the country, been to LA. We are going to
23 be going to New York City and New Jersey and
24 dealing with the homeless issue and the

1 children's issues.

2 MR. DALTON: The rural south.

3 CHAIRMAN ALLEN: We are going to
4 Alabama and Georgia and dealing with the rural
5 issues there, so that is part of our task.

6 DR. SCOTT: Get some of the
7 suburban issues and the middle size issues.

8 CHAIRMAN ALLEN: When you talk
9 about how far we have progressed, that is true.
10 But it seems to me that are we ready for the
11 expansion, the increase that we're going to be
12 dealing with? And it's like trying to add on
13 another room to the house in the midst of an
14 earthquake. Everything is shaking.

15 Here we are with early intervention, but
16 is it there? Is this an illustration? Is it
17 something that works well with white,
18 middle-class people? I was going to ask about
19 the hemophilia regional centers.

20 Is that a model that is applicable across
21 the board? I don't know if that can really be
22 easily accessible to communities that are not
23 already geared for that kind of mentality or
24 expertise. And everything is shaking here. It

1 is a rather precarious situation. There needs to
2 be a lot of thought going in to the direction as
3 we move.

4 I agree, we have come a long way, but we
5 have a long way to go. So that is my feeling and
6 apprehension about it all.

7 DR. ROBERTS: Kate, and then we'll
8 come to you.

9 DR. CAULEY: I just wanted to make
10 a quick observation. I happened to glance at the
11 agenda and noticed that the topic is the role of
12 testing and early intervention. It certainly
13 seems to me in the course of the last two hours
14 if one were to ask at this point what is the role
15 of testing and early intervention, the answer
16 would be, at least amongst the populations where
17 the infection seems to be growing most rapidly,
18 to simply be available when we're ready to use
19 it. I think that's an important point to make.

20 MS. ST. CYR: I just want to ask
21 Denman a question. I want you to tell us your
22 state and how many people that are HIV positive
23 that you have to deal with.

24 Secondly, also, share with us what factors

1 have come across the table to damper your
2 optimism, to reduce it. That was your statement
3 in the beginning. If you were to hear what's
4 spoken around the table --

5 DR. SCOTT: It just seems that
6 people feel like, I have a sense that we're
7 starting right at the beginning with this massive
8 problem and nobody has learned very much or done
9 anything. I think if you look at the number, I
10 just have a sense of, gee, we haven't gotten
11 anywhere. We have gotten a long way. It's still
12 a huge problem. But just think of the thousands
13 of people who are skilled, capable and able to
14 deal with this now that weren't there just five
15 years ago. There is a small batallion of people.

16 DR. ROBERTS: Could you say
17 something in response to Marie's other question?
18 How many cases Rhode Island is dealing with and a
19 little bit about their ethnic and class
20 composition?

21 DR. SCOTT: Sure. We have had
22 symptomatic case reportable disease, 310 reported
23 since 1983, which puts us in the top third of
24 states. We have begun a testing and counseling

1 program which requires physicians to offer the
2 test in a number of clinical settings. Nobody
3 has to take it, but they must be counseled and
4 offered the test. That's just getting going.

5 In addition to that, we have run and will
6 continue to run anonymous test sites for those
7 who really feel that anonymity is crucial for
8 their ability to be tested.

9 DR. ROBERTS: Just if I can push
10 you on the numbers because out of the 310, how
11 many of them are cases currently under treatment
12 roughly?

13 DR. SCOTT: I wanted to say that
14 half of them or two-thirds of them have died.

15 DR. ROBERTS: So a hundred is the
16 number of cases?

17 DR. SCOTT: No, no. The testing
18 program has identified in the last two years 800
19 HIV positive individuals. Our three major
20 clinical centers for taking care of AIDS have
21 enrolled 650 individuals. And the private
22 practicing community has a number enrolled that
23 we are currently ascertaining. But we think, by
24 virtue of the case management, nobody is

1 identified as positive who is not referred both
2 for social/psychological support, or medical care
3 as the way we go through our testing program
4 now.

5 So we think everybody, and this is
6 approaching probably a thousand people, is being
7 taken care of reasonably well.

8 MR. LEVI: As someone who was at
9 the LA hearing of the Commission, I would like to
10 turn the tables on your request of the
11 Commission. You suggested that the Commission,
12 that people were hearing too many negative
13 things. I think if you had been in LA, and maybe
14 you ought to visit some of the sites that the
15 Commission visited in LA and listen to some of
16 the witnesses or look at the record of the
17 hearing in LA, you couldn't help but be moved at
18 how far we are really from the beginning. And in
19 a more middle class community, in a more, shall
20 we say, homogeneous, ethnically and racially
21 community, and I would be happy to say in the
22 white gay community, white middle class gay
23 community, yes, you can point to a lot of
24 wonderful things that have happened. But for so

1 many people, the nation's response, the system's
2 response to this epidemic is truly just
3 beginning, even, I think as we saw in LA, even in
4 some of the communities and some of the states
5 that when they leave their home territory can
6 give wonderful speeches about all the wonderful
7 programs they are supporting and services they
8 are supporting, but in reality for the people who
9 are facing this epidemic it's not there.

10 But, what I would like to do now that I've
11 seized the floor, is return to the issue of
12 whether or not there ought to be separate
13 facilities because I think that is a really major
14 issue. I only have one or two thoughts about it,
15 we're almost done for today, but I really hope
16 that we will spend a significant amount of time
17 on this because that, at least, in a lot of the
18 minds of medical and public health people, is an
19 issue for debate.

20 The two thoughts I would put out is I am
21 concerned it will create the stigmatization of a
22 separate facility. AIDS is very different from
23 other diseases. It becomes an excuse for those
24 in the health care profession who don't want to

1 deal with the issue to dump it somewhere else.

2 I am also concerned about the level of
3 monitoring that can occur in every community.
4 It's one thing if you have a separate facility in
5 San Francisco and quite another if you have one
6 in other communities where there isn't going to
7 be the level of monitoring in that separate
8 facility, and at what point does that separate
9 facility become a dumping ground or a warehouse
10 for people. And, I guess, that, just because
11 we're integrating HIV care into the overall
12 system, doesn't mean we have to reform the
13 overall, the entire system and everything about
14 the system in order to make it accessible for
15 people with HIV.

16 Indeed, we can say we're going to take the
17 HIV part of the system and reform it to create
18 some models. This is what we should be doing for
19 every disease, we are in effect pushing the
20 system toward reform without having to take on
21 the entire system.

22 DR. ROBERTS: I take your point.
23 Certainly the existing system is extremely uneven
24 in its coverage by disease, so we obviously, your

1 point is certainly well taken.

2 DR. NOVICK: Bob, when he spoke,
3 called to my attention something that we haven't
4 said clearly. We have a second crossroads now.
5 We don't just have the opportunity for early
6 intervention. We have another crossroads.

7 In the early part of the epidemic, we
8 depended on people like Bob with a calling,
9 people like me, too, and probably most of you at
10 the table, who had a calling and wanted to work
11 120 hours a week and didn't ask questions. And
12 that has been a very successful model. But we
13 are now trying to match those people with the
14 medical model where there is also a calling, but
15 it's a calling of a different sort. But that is
16 what we are facing because we probably cannot
17 arouse tens of thousands of people with
18 callings.

19 Harvey would like us to arouse the
20 political voice in the underrepresented or
21 disenfranchised communities. I would like to do
22 that, too, and I work on that all the time. We
23 do it to some extent. But, again, you're asking
24 for the creation of people with calling, and we

1 all wait for that and we value it and we honor
2 them. But we can't really call them up. They
3 have to call themselves.

4 So what we are asking today is how we link
5 those wonderful people to the health care
6 professions, I think, because at this moment
7 that's what we need. I mean, it's the same for
8 people like Peter that have been called to the
9 care of those with hemophilia. That's what we
10 are around the table. Now we have to enlist the
11 other four hundred thousand.

12 CHAIRMAN ALLEN: Along the same
13 lines, we haven't really discussed the issue of
14 burnout and the issue of those that have that
15 calling are getting tired. And where is the next
16 phase? And as we are now moving into this early
17 intervention mentality in the system, there is
18 going to be an onslaught to access the system.
19 And the professional care, it's not there.
20 People cannot continue to work 120 hours. And
21 where is that going to be picked up?

22 I'm very frightened by the setup that we
23 have in our society right now. And it is
24 extremely chaotic, as far as I'm concerned. That

1 is something that we need to think about, is the
2 burnout, which we will probably get into tomorrow
3 with the psychosocial. We'll have to.

4 DR. MAKADON: I think we can only
5 go so far with the discussion of kind of models
6 of care. I guess some of my comments are not
7 unrelated to what I said before. I have been a
8 proponent of integrated care, but I think Deborah
9 Cotton in her testimony before the National AIDS
10 Commission back in November pointed out there are
11 certain aspects of care that are getting so
12 technical that it will be hard for that all to
13 happen in mainstream delivery of care health
14 systems. I have to say that our own experiences
15 validate that.

16 My concern now is we probably shouldn't
17 spend too much time considering which model is
18 best but begin to think which services need to be
19 provided and how we assure quality, regardless of
20 what the system is. If we spend too much time
21 designing the appropriate system, it's never
22 going to work in all places. It may be that
23 what's best in San Francisco isn't going to work
24 in Omaha. But, in fact, all people in all places

1 should get access to a certain level of care.

2 And in terms of early intervention, it's
3 relatively easy to say people who have had HIV
4 testing done should have at least had a T-cell
5 count done. And if it is such, are they on AZT,
6 and look at things like that and get a sense of
7 how we are going to monitor the quality of care
8 people get and get some semblance of a system out
9 of that, or see if the system is working.

10 DR. ROBERTS: Harvey, I want to
11 push you on this business of quality of care
12 versus what Marie said earlier. At least as I
13 heard the conversation, one of the devices that
14 the medical community uses in its mutual lack of
15 trust with a community-based organizations that
16 Marie was talking about is this business of
17 quality of care.

18 I wonder whether you think I'm being
19 unfair in saying that. But we've often heard
20 about quality issues as an excuse for maintaining
21 the monopoly of control in certain systems and
22 institutions by physicians.

23 DR. MAKADON: I don't know exactly
24 what you mean. It seems if you define quality

1 broadly enough to include a sense of what the
2 consumers are receiving from the system and
3 actually quantifying that in some way, that is a
4 way of judging how the system is performing that
5 that might get around that. I don't know if that
6 meets your concerns or not.

7 DR. ROBERTS: But all -- your
8 answer is that it's not a problem if we define
9 quality broadly. On the other hand, it's
10 conceivably a problem if we define quality
11 narrowly.

12 DR. MAKADON: I guess my concern is
13 that if we're talking about a system in the
14 abstract, we can define a very nice system and be
15 pouring lots of money into it, but it may not be
16 delivering the kinds of services that we hope it
17 delivers.

18 DR. HINMAN: Getting back to the
19 idea of early intervention, it seems to me
20 there's probably agreement that early
21 identification of infection is desirable if there
22 are available, acceptable means of providing
23 intervention. The question that comes, several
24 questions arise. One of them is what do you mean

1 by early intervention? There is the medical
2 model intervention, if you will: Testing
3 T-cells.

4 And I would point out that another
5 important early intervention is tuberculin
6 testing and the provision of preventive therapy
7 for tuberculosis, and the provision of methadone
8 programs for IV drug users. These are early
9 intervention in HIV infection which are not
10 mentioned so much now as our Pentamidine and
11 AZT. And these are not yet fully implemented.
12 But there are a series of more or less medical
13 types of intervention that can be defined and can
14 be stated to be an important component of what
15 one does.

16 There are an equally important series of
17 social psychological interventions, which may not
18 be as yet as well defined, and about which we do
19 not have good models for provision. I mean, we
20 do have, with all of its faults, a medical care
21 model in the United States which has provided
22 with a lot of unevenness a series of types of
23 services, often fragmented, often at the wrong
24 time or by the wrong kind of people, but

1 something is there.

2 For many of the social and psychological
3 interventions, I don't believe the mechanisms are
4 still in existence.

5 DR. ROBERTS: Could you say more
6 about what you think those social and
7 psychological interventions are?

8 DR. HINMAN: Some of the things
9 that have been talked about are support groups.
10 How do you deal with the anxiety of being
11 infected, not knowing when you're going to get
12 symptomatic, not knowing what is going to happen
13 to your job, with your insurance, these kinds of
14 things. These kinds of support groups exist in
15 some areas for some conditions, but there is not,
16 I think, a widely developed approach providing
17 this kind of support.

18 DR. ROBERTS: Let me push you
19 another step because in another life I moderated
20 a discussion of a somewhat similar sort about
21 early intervention for pregnant women in the
22 minority community, and the question of what were
23 social interventions. And people began when they
24 started to think about it, about everything from

1 court orders to prevent wife abuse to income
2 supplements so that people had enough to eat.
3 And I'm struck by the modesty of your response to
4 my question, to be a little unfair.

5 I mean, do we -- is early intervention
6 support groups to deal with peoples' anxiety
7 about not having enough financing, or is it money
8 so that they have enough financing? To take your
9 point, once you start down this road, you open a
10 pretty big door.

11 DR. HINMAN: I'm aware of the fact
12 that there is a very large door which I don't
13 think, as we've been talking about, we are in a
14 position to effect the overall change in American
15 society that is going to solve all of the
16 problems. I guess, first of all, I'm in the
17 public health business, so I tend to think about
18 kinds of services that might be available or how
19 one might try to make them. And the example
20 support groups was the first thing that came to
21 mind.

22 DR. ROBERTS: I'm being unfair to
23 you. I understand.

24 MR. DALTON: I was sort of hoping

1 Alvin could do this, but maybe I'll do it
2 myself. I also thought maybe I would wait until
3 tomorrow because it hits on the psychosocial, and
4 that has to do with the sort of role of
5 physicians in dealing with this epidemic. The
6 conversation about medical model, medical people
7 and nurses, I thought that was an interesting
8 separation of professions.

9 Our friend in uniform was talking before,
10 I leaned over and said he was a doctor because he
11 was speaking fondly about his program, I heard
12 the word nurse practitioner several times in the
13 course of his remarks, all of which -- I'm
14 remembering Jeff's remarks about early on in our
15 conversation today about whether physicians were
16 really very good at figuring out who is at high
17 risk because of a congenital or at least cultural
18 inability or difficulty in getting things like
19 sexual history.

20 My question to him was whether he thought
21 that doctors were in fact educable to the point
22 that they could provide counseling, they could in
23 fact take sexual histories, they could in fact
24 make a bridge between the medical model and the

1 social model, that they could be concerned about
2 family systems and what's the role of women as a
3 health educator in the family, capable of seeing
4 the information needed to give beyond sort of
5 science, at the risk of having needles stuck in
6 their arms.

7 I guess I want to put on the table that
8 maybe part of the problem is that we have too
9 constricted a view of who the medical people are
10 -- .

11 DR. ROBERTS: You swallowed the end
12 of the sentence. You said we had too constricted
13 a view --

14 MR. DALTON: Of who medical
15 personnel are or ought to be. Then, again, the
16 quality of care discussions just brought up for
17 me all sorts of debates about credentialing.
18 I've sat on the task force, Health Department
19 Task Force in Connecticut in which the question
20 came up about who should be doing counseling.
21 Every doctor in the room said only doctors
22 because, and the rest of it didn't make a lot of
23 sense. It seemed we were talking about
24 professional rivalries. I mean this to be sort

1 of opening up some conversation for tomorrow --

2 DR. ROBERTS: We are not going to
3 settle the role of physicians in the next two and
4 a half minutes.

5 MR. DALTON: I think --

6 DR. ROBERTS: You get a chance to
7 respond.

8 DR. COTTON: I won't be here
9 tomorrow. Well, I mean, I just find it
10 interesting that in a group where we've been, I
11 think, exceedingly careful to be sensitive to not
12 speaking about communities that we're not part
13 of, Harlon finds it very easy to speak about
14 physicians, which he's been doing. I think we've
15 talked a lot today about the medical model and
16 social model. We've talked a lot about whether
17 or not we really need to adopt a medical model.

18 I think that I first want to say what my
19 own obvious biases are. I've already testified
20 to the Commission as somebody who believes we do
21 need regional AIDS centers. I realize that's
22 quite a minority view. I feel it very strongly,
23 however. I think that the reason for that is
24 that this is an extremely complicated disease.

1 And no one is saying, I don't believe, that only
2 physicians should be involved in caring for HIV
3 infected people. I never counsel people about
4 HIV testing. I'm not particularly expert at it.
5 I refer them to the very good counselors that are
6 available to me to do just that.

7 Mark Smith from Hopkins spoke eloquently
8 about our need to train nurse practitioners. I
9 don't think any of us who are totally overwhelmed
10 who ever say that we think that only physicians
11 should be doing counseling or providing 90
12 percent of the care for asymptomatic people.
13 What I do think is that this is a very
14 complicated disease. I think the therapies are
15 becoming more and more complicated. I hope that
16 they become even more complicated because if they
17 do, it will mean that we are really going to be
18 able to eliminate this virus. We have several
19 promising therapies that are about to go into
20 trial. None of them, unfortunately, are pills.
21 None of them are drugs that we expect to have no
22 toxicity.

23 I think it is likely that we are going to
24 need not only physicians but infectious disease

1 physicians, or, and I would favor this, AIDS
2 physicians to care for these people. I think we
3 have to be part of this. To say that we don't
4 need to have physicians as part of care, as part
5 of early intervention, is simply not true. We
6 need it. We absolutely need it.

7 MR. DALTON: I didn't say there was
8 no role for physicians.

9 DR. ROBERTS: I am going to give
10 the three people whose hands are up a chance, and
11 then we are going to have to close.

12 DR. CAULEY: I wanted to briefly
13 pick up on the conversation that Alan and our
14 esteemed facilitator were having to just frame
15 something for a moment. It might be useful, it
16 might not be.

17 When you talk about early intervention, if
18 you talk about social early intervention, then
19 we've got education, empowerment, all the things
20 that we've had on the table, for which it might
21 be said that the choice or decision to be tested
22 or not be tested is the end result of social
23 early intervention; whereas, when we're talking
24 about medical early intervention, the test is the

1 first step because we can't provide medical early
2 intervention until we know a person's T count, et
3 cetera. I'm not sure, but for me that helps to
4 make a distinction.

5 DR. MAKADON: Can a doctor talk to
6 a patient about social intervention --

7 DR. CAULEY: I'm not getting into
8 that.

9 DR. ROBERTS: All right.

10 MS. AFFOUMADO: HIV is a very
11 complicated disease. It's complicated not only
12 on a medical level; it's complicated on the
13 psychosocial level. What we're really talking
14 about is groups of people who are providing many
15 levels of services using many different kinds of
16 providers. We are talking about lay people,
17 talking about professionals, talking about all
18 kinds of things. What we're really talking about
19 is multidisciplinary models.

20 One of the misnomers in discussing HIV is
21 this whole thing of case management. It's not
22 case management; it's team management. It's
23 management of groups of people, whether they are
24 in one location or they are in the community or

1 they are in other places. These are very
2 complicated problems that we're dealing with.

3 We also have to remember that we are
4 talking about a disease, a disease that has
5 certainly prompted many, many other types of
6 problems. There is no doubt about it. I think
7 Deborah is absolutely right. I work in a
8 clinic. I see the kind of medical monitoring
9 that must take place in order not to kill these
10 patients. Look at what we're doing to them. We
11 are giving them highly toxic drugs that we don't
12 know a whole lot about. We are developing all
13 kinds of protocols that we have very little
14 experience with. We are learning by doing a
15 lot.

16 Now, again, I'm not putting values or
17 judgments on any of this, but I think we have to
18 look at the reality of how we're managing these
19 patients and what it really means. We keep
20 saying AZT, early intervention. I know in our
21 clinic with the 2,000 patients we have in our
22 clinic, AZT lasts about eighteen months to two
23 years. Is that really early intervention, or is
24 that holding people in holding patterns?

1 Pentamidine, we just did another study on
2 our patients in our clinic, it breaks down at
3 around 24 months, also. Now, whether that means
4 forever, I don't know. But what I'm saying is
5 that early intervention is really holding
6 patterns. We don't really know what these
7 medications are doing to people at the time. We
8 really need to be very careful about talking
9 about research trials, monitoring our patients
10 and not having backup services and all kinds of
11 things. I think we have to be very careful when
12 we look at this because this is very dangerous
13 ground that we are sitting in medically.

14 We talk quality. We don't know what
15 quality is for this disease. We really don't in
16 some levels. So we have to be very careful to
17 not be simplistic of what we're taking care of
18 here. It's very complicated and there are lots
19 of issues here that really have to be looked at.

20 DR. ROBERTS: Don?

21 MR. GOLDMAN: I would like to make
22 two observations. The first observation is that
23 it has always seemed to me rather presumptuous to
24 assume that within a given community, and

1 specifically in the black community or in the gay
2 community, or in the Latino community, that
3 issues of AIDS, HIV infection, are in fact the
4 important issues within that community. They may
5 be important to some of us who are working within
6 that arena. But many communities are afflicted
7 with many different kinds of pain and suffering
8 of many different kinds. It's not true that AIDS
9 is not the most important thing in the world. It
10 may well be to a certain family the fact that
11 they are affected by HIV may be the most
12 important thing to them. But in the realm of
13 things to many people in many communities, it's
14 merely one somewhere down the list of problem
15 number 7 on a list of 6 which were more important
16 to it. And we really can't make that
17 presumption.

18 In order to empower a community to
19 advocate the kinds of separate facilities that
20 are required for it, that community makes its own
21 judgment as to the priority of AIDS and HIV
22 infection in terms of the value of its own
23 system.

24 We can, however, deal with the

1 institutions with which we deal. If we deal with
2 medical institutions in some way, we can make
3 sure damn well that for those members of those
4 communities that access the institutions with
5 which we deal that in fact they can be sensitive
6 to those cultural kinds of differences. We may
7 not be able to impose our wish on another
8 community, but we can certainly make sure that
9 our community is appropriate.

10 In terms of dealing with empowerment, the
11 issue of empowerment is do we really want to go
12 into communities and tell them what their
13 priorities ought to be or do we want to let them
14 develop their own priorities, and maybe those
15 priorities will not be AIDS and HIV infection.
16 Maybe there'll be job training.

17 The other point I wanted to make which is
18 sort of entirely unrelated, I think people ended
19 up getting to, is talk about a dichotomy between
20 a health care model and a social model is not
21 necessarily a useful dichotomy. The health care
22 model, if in fact it's done right, in fact you
23 have the appropriate multidisciplinary team
24 approach, is in fact a social model. I think

1 what Peter Smith was trying to say is that
2 probably we spend 70 to 80 percent of our time
3 dealing with social issues, dealing with how do
4 you get people eligible for the various
5 reimbursement programs that will enable their
6 care, how do you get them into the community
7 mental health system which provides it with
8 affordable psychotherapy, how do you get them in
9 terms of what social agencies get them some kind
10 of housing, how do you deal with vocational
11 rehabilitation to get them job training, how do
12 you interact with that to make sure it's done
13 consistent with a very, very complicated medical
14 disease, and those, I spent 70 percent of my time
15 dealing with bureaucracy, payment and social
16 issues. That's what's involved.

17 It's true of many models of chronic
18 diseases that deal with the whole gamut of
19 chronic disease in a multidisciplinary team, it's
20 really the same. The social worker, the nurse
21 clinician are probably more important to the
22 patient than the physician is. Not to be
23 disrespectful to the physician, but in terms of
24 who they interact with on a daily basis, and

1 those are very, very important members of the
2 team. The models are not at all diverse and they
3 are perfectly consistent with each other.

4 DR. ROBERTS: On that note, Mr.
5 Chairman?

6 CHAIRMAN ALLEN: I would like to
7 thank you all for being here and thank you for
8 your calling. A couple of observations as there
9 has been some doctor-bashing, I want you to know
10 I am extremely relieved being a minister, I
11 usually end up in meetings that do a lot of
12 minister-bashing. So it's kind of a relief for
13 me at this point.

14 I would like to say that it sounds to me
15 like what we have been talking about is that not
16 one model is going to fit all cases. Yet, at the
17 same time, we have really compartmentalized our
18 approach to this illness because we've been
19 putting out fires for about eight years. We run
20 here, put out something here, run there, put out
21 something there. There is always a sense of
22 urgency. As I say, we hear the positive things
23 around this country, but you don't go to marriage
24 counseling to tell the counselor all the good

1 things about your marriage.

2 So we have come here to talk about the
3 things that are wrong, the things that are
4 hurting. And you have articulated them well. It
5 has given us a lot of food for thought on early
6 intervention and testing; that we need to move in
7 a rather careful manner through this process. We
8 will continue to look at the issues and to try to
9 make some type of recommendation to the full
10 Commission. We are not coming out of this
11 meeting saying this is going to be the policy of
12 the National Commission on AIDS. We are fact
13 finding and trying to gather this information and
14 we'll take it all in.

15 So this is the beginning of a process, and
16 it's going to be a very thorough process.

17 As for tomorrow, I would like to say that
18 we are going to be meeting at the Essex Room in
19 the Westin beginning at nine o'clock. I assume
20 you all have these questions, the three questions
21 that we will be dealing with tomorrow.

22 Thank you again for helping us.

23 (Hearing adjourned at 5:30
24 p.m.)

CERTIFICATE

COMMONWEALTH OF MASSACHUSETTS

SUFFOLK, SS.

I, LISA W. STARR, a Notary Public in and for the Commonwealth of Massachusetts, do hereby certify:

That the said proceeding was taken before me as a Notary Public at the said time and place and was taken down in stenotype writing by me;

That I am a Certified Shorthand Reporter for the Commonwealth of Massachusetts, that the said proceeding was thereafter transcribed into computer-assisted transcription, and that the foregoing transcript constitutes a full, true, and correct report of the proceedings, to the best of my skill and ability, which then and there took place.

IN WITNESS WHEREOF, I have hereunto set my hand and Notarial Seal this 21st day of February, 1990.

Lisa W. Starr

LISA W. STARR
Notary Public

My Commission Expires: May 13, 1994.